

1 LDL is not changing at all. So, I agree with you that  
2 percent of patients with their LDL below is a more exact  
3 number than the mean.

4 DR. LORELL: Just to make a real brief comment,  
5 I think it's a really important issue because we're not  
6 being asked to approve here an escalating set of packaged  
7 products, and I think it's also very important because it's  
8 not the sponsor's job to defend or discuss other companies'  
9 products, but this is not a unique drug. There are other  
10 choices available that, in the current United States  
11 managed care environment, allow you to get to goal often  
12 with one prescription and documenting it with a single  
13 blood test. So, I think it's really important, if we can,  
14 to know the data from the LIPID experience.

15 DR. BORER: Blase, and then Ray.

16 DR. CARABELLO: Now that the issue of  
17 compliance in pill-taking is on the table, it would seem to  
18 me that this opens a Pandora's box. We're being asked to  
19 consider the co-packaging and co-production of two  
20 different pharmacologic agents that are focused on the same  
21 goal. As you point out, many of our patients should be on  
22 an ACE inhibitor, a beta-blocker, a statin, and an aspirin,  
23 and does that mean that we should co-package and co-produce  
24 three or four different agents in the same pill?

25 DR. BORER: If you want to answer, just make it

1 with a yes or no. If you don't, it's okay, but the  
2 question remains.

3 Ray?

4 DR. LIPICKY: There are a couple of things, I  
5 guess, to talk about, and one of them might be a whole day.

6 But you're not being asked to approve a drug that would be  
7 a product, a fixed-dose combination that would be used  
8 instead of the individual ingredients. The labeling would  
9 say, if you are on these doses of pravastatin or on these  
10 doses of aspirin, take me because I am convenient. That's  
11 what you're being asked to approve.

12 The questions that you will be addressing will  
13 ask you, do you think this will lead to bad practice? But  
14 you are not being asked to approve, put people on this  
15 combination product first.

16 There's a long line of fixed-dose combination  
17 products that are anti-hypertensive, ACE inhibitors and  
18 diuretics and so on and so forth. Years ago those products  
19 were labeled with black boxes that said, do not use me  
20 first. Titrate with individual components. Eventually  
21 that got to a place where that sort of got modified and  
22 changed, and there is a fixed-dose combination  
23 antihypertensive product that is in fact for initial  
24 therapy. That is, it says, use me instead of an ACE  
25 inhibitor or instead of a hydrochlorothiazide product, and

1 it is a fixed-dose combination. Very special reasoning  
2 that got it there.

3 That's not what this is. This isn't use me  
4 first. This is use me if these doses are what your patient  
5 is getting because it's easier. That's the first thing to  
6 point out.

7 The second thing, I guess, which might be a  
8 day-long discussion, is I think it is inappropriate to  
9 think about guidelines, and it is inappropriate to think  
10 about percent of patients who would fall below something.  
11 Guidelines are okay for guidelines, but we, last time this  
12 committee met, looked at an antihypertensive drug where all  
13 patients were below guidelines for what blood pressure  
14 should be, but in fact, although all patients were there,  
15 there was a difference in blood pressure control below the  
16 guidelines, and that could have been the real clinical  
17 benefit.

18 So, a number is sort of inappropriate to look  
19 at, I think, and when we look at antihypertensive drugs,  
20 every single sponsor puts in data that say what fraction of  
21 patients are controlled, namely 140 over 90. And I have  
22 never looked at those numbers. I have advised all our  
23 reviewers to never look at those numbers because if it was  
24 141 over 89, it would be a different fraction. If it was  
25 142 over 92, it would be a different fraction. It's a

1 totally arbitrary mind set.

2                   If you in fact even thought that, people would  
3 start on the combination product first, and there were  
4 doctors looking after patients, there isn't any reason they  
5 couldn't add another dose of pravastatin, add more aspirin,  
6 add more diet because doctors have to look after patients.

7     Right?

8                   But you will be asked, would the existence of  
9 this thing in your judgment alter practice, and you'll be  
10 able to make a judgment. But I don't think you need to  
11 look at this as the initial therapy of people until doctors  
12 know what the response is.

13                   DR. LORELL: Well, Ray, I think your comments  
14 are well taken, but in the spirit of this group having to  
15 ask the question, will it alter practice, I think it would  
16 be helpful as a component in our decision making to know  
17 the proportion of people who were or were not below 100.

18                   I also think that although in an ideal world  
19 components are, indeed, titrated and used separately, the  
20 presentation that we just heard emphasized the clinical  
21 care component of initiating these agents at the time an  
22 acute life-threatening event occurs. In fact, in real  
23 world practice and in my practice as an interventional  
24 cardiologist, it is extraordinarily common for the dose of  
25 both aspirin and a statin that is started to be the one

1 that is continued for a very, very long time. So, in terms  
2 of helping in our clinical decision making, I think these  
3 data would really be helpful.

4 DR. BORER: Let's go to Bob and then Tom and  
5 Steve, and then we'll stop because we have another speaker.

6 DR. TEMPLE: To some extent, the questions  
7 raised go to the entire existence of pravastatin. I am  
8 absolutely positive you'll find more people reach goal on a  
9 different drug. But the expectation is that people will  
10 actually measure the effect and see if they consider it  
11 adequate, and they might perhaps be influenced by the fact  
12 that this drug has much more outcome data than any other  
13 drug.

14 So, it strikes me there's some tension between  
15 meeting the guideline with a drug that's never been studied  
16 for outcome, or hardly, and instead trying first to get to  
17 guideline with one that has a lot of outcome data, and  
18 obviously doctors have to figure out what they want to do  
19 in that case.

20 But putting this in a combination with aspirin  
21 really doesn't change anything much. 40 milligrams used to  
22 be the top dose of this drug. Well, so be it. That didn't  
23 get everybody to goal, I'm sure, and then they'd have to  
24 decide whether to switch to something else or use it off-  
25 label at a higher dose or any of those things, and they

1 would still have to do all this. As Ray said, you have to  
2 decide whether the existence of this will keep people from  
3 doing what's right, but our assumption is that you're  
4 supposed to check the cholesterol levels even when you use  
5 a combination, just as you would when you're using it  
6 alone.

7 As Ray said, it is important to us to know  
8 whether you think this will alter practice in a bad way,  
9 but some of the questions raised really go to the whole  
10 question of the drug itself.

11 DR. BORER: Tom?

12 DR. FLEMING: Ray, you've said it's not really  
13 integral for us to know what fraction of people, if they  
14 take the 40 milligram dose, will achieve targeted levels,  
15 will achieve a goal, and I understand what you're saying.  
16 You're saying the way you're going to label this would be,  
17 if in fact in your judgment a 40 milligram dose is what you  
18 should be taking of pravastatin and aspirin, then this is  
19 the pill for you.

20 And yet the way that I understand this has been  
21 presented to us as the motivation, as one of the critical  
22 motivations for doing this, is it's going to enhance  
23 accuracy and adherence. Adherence to what? Well, I assume  
24 adherence to an intervention that will allow you to achieve  
25 what the targeted goal is. If in fact the 40 milligram

1 dose does that in the vast majority of people then I am  
2 persuaded that this will enhance accuracy and adherence.  
3 But if in fact a substantial fraction won't achieve  
4 targeted goal, then why is it I should still think that  
5 this strategy is going to provide enhanced accuracy and  
6 adherence?

7 DR. TEMPLE: I think that's the question I was  
8 addressing. If you think that this drug doesn't get enough  
9 people to goal at 40 milligrams -- maybe now at 80  
10 milligrams it does -- I guess you're proposing to advocate  
11 that it be removed in favor of putting everybody on  
12 atorvastatin, even though there's no outcome data. What's  
13 the implication of your --

14 DR. FLEMING: No.

15 DR. TEMPLE: You're absolutely right. It won't  
16 get everybody to goal. That's true. So, are we in a  
17 position or are you taking a position that you want only  
18 the drug that gets the most people to goal?

19 DR. FLEMING: I'm saying when one thinks about  
20 what one is achieving here which, if I understand, is  
21 accuracy and adherence enhancement, it seems to me, to have  
22 a sense of what the level of that up side is, I have to  
23 have a sense of whether or not this packaged product is  
24 largely going to achieve the intended outcome. If in fact  
25 it's largely going to achieve the intended outcome, then I

1 am persuaded that it's plausible to assume I'm going to get  
2 enhanced accuracy and adherence. If, on the other hand, it  
3 isn't then I'm thinking this is not necessarily going to  
4 have an up side.

5 DR. TEMPLE: But it can't be better than  
6 pravastatin alone at getting you to goal. How could it?  
7 It's going to have exactly the same effect on lipids as the  
8 drug does.

9 DR. FLEMING: My question is what happens. If  
10 you take the 40 milligram dose with aspirin, in what  
11 fraction of people do you achieve goal, or at least a level  
12 that care giver and patient would be satisfied, and if  
13 they're not, what would they typically do? And I want to  
14 have a sense of whether or not there is a large fraction of  
15 people that would be satisfied with this combination. If  
16 so, then it's plausible.

17 DR. TEMPLE: You're really asking -- and as Ray  
18 said, we are interested in this. If you think this would  
19 distort behavior because of the enormous convenience of  
20 this, then we would be interested in that concern.

21 DR. BORER: And we're going to get to that in  
22 questions, and the company has already told us they don't  
23 have the data we want, so we're going to have to go with  
24 what we've got.

25 DR. BELDER: We have one number: 75 percent



1 for CARE. For LIPID, we're trying to get that number to  
2 you in due course.

3 DR. THOMPSON: I'd like to submit that we're  
4 talking about the wrong goal. The goal is to prevent  
5 coronary recurrent events and not necessarily a lipid goal.  
6 That's the data I think we've been presented to some  
7 extent. The issue is not whether pravastatin is an  
8 effective drug. The issue is whether the combination of  
9 them is better at reaching the true goal, rather than some  
10 guideline goal.

11 DR. BORER: Steve?

12 DR. NISSEN: Let me see if I can help make both  
13 Ray and Bob a little more comfortable. In a perfect world  
14 everybody gets titrated. You know what the goal is and  
15 everything is easy and your patient comes in, you check  
16 their lipids. If they're not there, you do some  
17 intervention and so on. But we know there's abundant data  
18 that the first dose that patients are started on is often  
19 the dose that they stay on.

20 What we're trying to get a feeling for is the  
21 concern that if a product is available and offers a lot of  
22 convenience, is widely marketed and available, that there's  
23 a certain inertia that's created. It's already a lot of  
24 inertia about up-titration and getting people to goal. We  
25 know from Tom Pearson's work that most people don't get to

1 goal, unfortunately. What you have to do is, if you get  
2 them on this combination product and they're not at goal,  
3 you've got to stop that. You've got to start another  
4 statin, co-administer aspirin with it, get another set of  
5 lipid values.

6 I'm worried that, on balance, that the societal  
7 result, the public policy result will be that fewer  
8 patients will get where we want them to be than we get now.

9 That wouldn't be a good decision if that were the case.

10 Now, I had one other question that I want to  
11 raise, and I think to me it's actually not trivial. The  
12 major side effect of both statins and aspirin is GI  
13 intolerance. A certain number of patients -- I think,  
14 Paul, you do this for a living. He can tell you that  
15 people come in, particularly with initiation of therapy.  
16 If patients are on the combination and they get GI  
17 intolerance, then they stop both agents. It gives me a  
18 little bit of worry here that patients may stop aspirin  
19 because they have GI side effects and continue their  
20 statin, or vice versa. But when you put things together,  
21 you may lose both components if a patient has a  
22 gastrointestinal side effect. It just makes me slightly  
23 nervous, and I wonder if anybody else is nervous about that  
24 as well.

25 DR. THOMPSON: You know, I do do this for a

1 living and I'm impressed that we're making it tougher than  
2 it needs to be. We're not going to change slovenly medical  
3 practice one way or the other. There is this incredible  
4 failure to move. I agree with you. I don't think we're  
5 necessarily going to make it worse, nor do I think we're  
6 going to make it better with an agent such as this. But  
7 are we not making it tougher than it necessarily needs to  
8 be?

9                   We have an approved drug that may not be the  
10 most powerful statin around, but does lower lipid levels,  
11 and people use it and there's evidence to support its use.

12 We have another agent that all of us would agree with.  
13 Aspirin is effective in secondary prevention. Even though  
14 the meta-analysis we were shown raises some questions, none  
15 of us on the basis of that meta-analysis would stop giving  
16 aspirin after our angioplasties or anything else. So,  
17 that's making it more complex.

18                   All we're saying is that there are a lot of  
19 people -- and I've left three charts undictated yesterday  
20 to get my plane, so I do this every day with a lot of  
21 patients. I can tell you that just yesterday somebody  
22 said, you're going to give me something else? I'm already  
23 taking seven drugs. There is a small group -- not a big  
24 group -- that this affects how they think about themselves  
25 and their medications, and combining two proven, effective

1 drugs into one may not be a blockbuster seller or whatever,  
2 but it's not probably going to be more dangerous, et  
3 cetera.

4           Now, what about the GI bleeding and stuff like  
5 that? Even though I'm the one who's kind of picked on the  
6 general practice doctrine and said that doctors who do  
7 research do better -- I believe they do. But we have to  
8 give some credit to these folks out there to notice that if  
9 there is a GI intolerance that they're going to stop the  
10 combination and put them on the pravastatin alone. I think  
11 we also forget that you can add additional doses of another  
12 agent if you wanted to. I mean, you could do other things  
13 to potentially get these patients to goal.

14           DR. BORER: We're going to have just one more  
15 comment from Ray, and then I want to ask Dr. Pedersen to  
16 present his data because they really do get to the heart of  
17 the issue, no pun intended, that Beverly has raised several  
18 times here.

19           DR. LIPICKY: Well, you'll come back to this,  
20 I'm sure, but the difference, at least from my perspective,  
21 from what you're seeing here is that -- I ought to start  
22 from some place different.

23           Coming back to the antihypertensive model, ACE  
24 inhibitors and diuretics are approved drugs, and they are  
25 taken together, and it's reasonable to do so. My point of

1 view: before one would advocate that one should take both  
2 by producing a fixed-dose combination, you ought to have  
3 the data you need that says that both are contributing to  
4 the good of the product -- and in fact that is a regulation  
5 that says that both ingredients have to be working in the  
6 product you're approving -- and that you ought to know  
7 something about the dose of each that you need to give when  
8 they are combined, because it might be different than when  
9 they are single.

10                   We have accomplished that with  
11 antihypertensives, and we've accomplished that with, say,  
12 diuretics and triamptyrine, the potassium-retaining thing.  
13                   Essentially we knew that both ingredients contributed to  
14 the product, and we knew roughly what dose you would need  
15 to use of each in combination.

16                   Then it was sort of reasonable to advocate that  
17 this fixed-dose combination should be used, and it  
18 explicitly said whether you should titrate with individual  
19 components first, and if you turned out to be on the  
20 particular dose that was available, to then allow that to  
21 be used as a convenience. You know, there's a difference  
22 between doctors can use something together and saying they  
23 should use something together, and that's a subtle  
24 difference.

25                   Now I've lost my train of thought.

1 DR. BORER: You'll find it again. It's okay.  
2 Let's go on to Dr. Pedersen, please, and thank you very  
3 much, Dr. Pearson. After Dr. Pedersen, any questions  
4 anybody has for him, we'll break for lunch, because the FDA  
5 has to eat, I'm told again, and then we'll come back and  
6 finish up.

7 DR. PEDERSEN: Thank you very much. I was  
8 invited mainly to present my view on whether a fixed dose  
9 of 40 milligrams of pravastatin would be appropriate in the  
10 majority of the target population, and the invitation came  
11 from the FDA. I have the feeling that this question has  
12 already been debated into exhaustion, but to justify the  
13 airline ticket, I will still give my presentation. It will  
14 be short.

15 As you know, there have been, until two months  
16 ago, five large scale, long-term clinical trials with  
17 statins in patients at high risk of CHD. I will not talk  
18 about the heart protection study because it hasn't been  
19 presented in writing yet and it's not important for this  
20 presentation either.

21 These five clinical trials included patients  
22 with a variety of LDL baseline levels. The 4S with  
23 simvastatin included the relatively high cholesterol level  
24 population. The two trials that are combined for the meta-  
25 analysis of this meeting, LIPID and CARE, ranged between

1 100 milligrams per deciliter in LIPID, approximately, and  
2 up to about 200 approximately.

3           The majority of patients were around 150, and  
4 for the total meta-analysis, the mean LDL cholesterol level  
5 was 148, with a standard deviation of 26. So, the mean  
6 plus two standard deviations would be exactly 200.  
7 Therefore, for the meta-analysis of the pravastatin trials  
8 in this context, extremely few patients have been studied  
9 with an LDL cholesterol level above 200 milligrams per  
10 deciliter.

11           Now, from a lot of epidemiological studies, it  
12 is known that about one-fourth to one-fifth of patients  
13 with acute coronary syndromes or acute MI coming into the  
14 coronary care unit have some inherited disorder of  
15 hyperlipidemia. The majority have familial combined  
16 hyperlipidemia, at least 20-25 percent, and they usually  
17 have LDL cholesterol levels about 200 milligrams per  
18 deciliter. The rest are made up by familial  
19 hypercholesterolemia and other disorders. So,  
20 approximately 20 to 25 percent, maybe a smaller proportion  
21 in the United States than in Europe, have very high levels  
22 of LDL cholesterol levels, which have not been studied with  
23 pravastatin.

24           Now, there is from the epidemiological data  
25 good evidence to suggest that the lower the cholesterol,

1 the lower the risk of having a heart attack. However,  
2 there is very little data from randomized trials to support  
3 the concept of a target level. Neither the European target  
4 of 3 millimolar per liter or the U.S. target of 100  
5 milligrams per deciliter of LDL has very good support from  
6 randomized data. As you may know, at present there are  
7 five large-scale, randomized clinical trials addressing  
8 this question, randomizing a total of 40,000 patients. But  
9 the results of these trials will not be clear until 2004,  
10 2005.

11           There is, as I said, a lot of epidemiological  
12 evidence, and one European study suggests that once you get  
13 below 75 milligrams per deciliter of LDL cholesterol, other  
14 risk factors lose their importance. Whether you are a  
15 smoker, have hypertension, diabetes, once you get below 75,  
16 the risk is so low that you can ignore it.

17           The studies done with other lipid-lowering  
18 drugs like simvastatin in 4S indicated that in the internal  
19 analyses, the lower the simvastatin group got in the  
20 percent reduction of LDL cholesterol, the lower was the  
21 risk. The tertile in the simvastatin group who, after one  
22 year achieved an LDL cholesterol lowering of between 44 and  
23 70 percent, had a lower incidence of coronary artery  
24 disease in the next 4 years than the two other tertiles.  
25 So, in 4S there was a linear relationship between the level



1 reached after 1 year and the risk; the lower you could get,  
2 the better. But this is observational data.

3           In the two trials with pravastatin that have  
4 done similar analysis, the CARE study and the West of  
5 Scotland study, this finding was not confirmed. On the  
6 contrary, in the CARE study, there didn't seem to be much  
7 difference of risk reduction whether you had reached a  
8 level of 120 or 80 milligrams per deciliter in the  
9 pravastatin group compared to those who remained high. A  
10 similar finding was done in the West of Scotland trial,  
11 where it seemed like about a 12 to 24 percent reduction in  
12 LDL cholesterol was enough to achieve the same risk  
13 reduction as those who had greater reduction in  
14 cholesterol.

15           So, in an editorial where all these three  
16 papers were presented two or three years ago, Scott Grundy  
17 suggested that we now have three different models for  
18 whether there is a threshold or a target level or not. The  
19 evidence from 4S indicating a linear model, the evidence  
20 from the pravastatin trial indicating a threshold at  
21 approximately 130 milligrams per deciliter of LDL  
22 cholesterol, whereas all the epidemiological evidence  
23 seemed to indicate an exponential relationship between LDL  
24 cholesterol level and risk.

25           However, the meta-analysis performed with all

1 types of lipid-lowering trials, including the statin  
2 trials, would indicate that there is an almost linear  
3 relationship between the percentage reduction in LDL  
4 cholesterol and the benefit achieved from the side of the  
5 patients.

6                   I'm not going to talk about baseline levels,  
7 but the clinical practice to date is that patients with  
8 familial hypercholesterolemia and familial combined  
9 hyperlipidemia are actually rarely treated with less potent  
10 statins. They are usually treated with a high dose of  
11 highly potent statins or a combination of drugs.  
12 Therefore, for about one-fourth of the target population  
13 who are discharged from a coronary care unit with acute  
14 coronary syndrome, this type of drug would probably not be  
15 considered by physicians, or if they are considering this  
16 drug, the patients might not be given what is today  
17 regarded as the optimal treatment.

18                   However, we will not know until three years  
19 from now whether the concept of a target level is correct  
20 or not. And until that, I will not press my point very  
21 hard about this.

22                   But my final summary is that there is not very  
23 good clinical trial evidence on the use of pravastatin 40  
24 milligrams and its efficacy in about one-quarter of the  
25 patients with coronary care unit disease.

1 DR. BORER: Thank you very much, Dr. Pedersen.

2 Are there any questions from members of the  
3 committee for Dr. Pedersen?

4 I have just one question that really is sort of  
5 not totally relevant here. If one were to measure the  
6 cholesterol at the time that statins commonly are begun now  
7 in the coronary care unit, if one were to do that, and  
8 recognizing that at least in acute myocardial infarction,  
9 there's an important change in cholesterol when measured  
10 immediately after the event, to what extent, if you can  
11 actually provide an estimate, would the estimate be  
12 incorrect that you were using as your baseline in  
13 cholesterol?

14 DR. PEDERSEN: I believe that most coronary  
15 care units today do measure cholesterol on admission into  
16 the coronary care unit. And that measurement would be  
17 fairly accurate as to what the usual level of that patient  
18 is. It is only after about 24 hours that cholesterol  
19 levels tend to drop, and they can drop quite considerably  
20 by more than 1.5 millimolar per liter over the next few  
21 days, and then gradually get back to the baseline level  
22 again after about 6 weeks. But if you measure within 24  
23 hours of onset of symptoms, you get a fairly accurate  
24 estimate of what the actual level used to be.

25 DR. BORER: Steve?

1 DR. NISSEN: Professor Pedersen, difficult  
2 question for you, but it relates to your own practice. Is  
3 there a level of LDL cholesterol above which you would not  
4 use pravastatin personally?

5 DR. PEDERSEN: Well, first of all, I rarely use  
6 pravastatin at all because my experience is mainly with  
7 simvastatin. But if a patient has FH or familial combined  
8 hyperlipidemia, which means LDL cholesterol levels around  
9 250, I start with a high dose of simvastatin or  
10 atorvastatin, usually at least 40 milligrams. And if it's  
11 very high, I start right away with 80 milligrams because  
12 the probability to get cholesterol levels down to target  
13 level, if you think that's important, is very small with  
14 prava 40.

15 DR. BORER: If there are no more questions for  
16 Dr. Pedersen, what we'll do now is break for -- dare I say  
17 it -- lunch, early. Let's be back here at 12:30 to begin  
18 again.

19 (Whereupon, at 11:32 a.m., the committee was  
20 recessed, to reconvene at 12:30 p.m., this same day.)

21

22

23

24

25

## 1 AFTERNOON SESSION

2 (12:37 p.m.)

3 DR. BORER: We'll reconvene. We have a little  
4 bit more discussion and some data to be presented prior to  
5 going to the formal questions that we've been given, but I  
6 think the discussion won't take us very long and then we  
7 can move on to the questions. It is to be hoped that  
8 nobody will have to leave early before we get through.

9 There are two issues. First, the sponsor has  
10 data in response to Beverly and Tom's question, and maybe  
11 you want to present that briefly, if you would, about the  
12 percentage of patients who achieved 100 milligrams percent  
13 of LDL cholesterol in the two trials.

14 DR. TONKIN: Essentially as you heard this  
15 morning, in CARE it was 75 percent of people who achieved  
16 an LDL cholesterol of less than 100. I should say that the  
17 exclusion criteria for CARE were an LDL above 175  
18 milligrams per deciliter, and that's important.

19 In LIPID, a total of 53 percent of those on  
20 pravastatin achieved an LDL of less than 100, and the  
21 question was also asked about the LDL of 110, and that was  
22 68 percent. The exclusion criteria for LIPID were a total  
23 cholesterol above 271 milligrams per deciliter.

24 The other comment that I'd make is that these  
25 are intention-to-treat analyses, so this does not -- for

1 example, this 53 percent -- account for the 19 percent of  
2 patients who were assigned pravastatin who dropped out from  
3 that treatment limb.

4                   If I could show a little bit more data  
5 dissecting the material around LIPID a little bit further.

6       There was a lot of discussion about what was the value or  
7 the validity, if you like, and how should we look at LDL as  
8 against the other part of the argument of whom should be  
9 treated. And this is an analysis in LIPID, and I would  
10 stress that I do believe that primarily trials examine  
11 intervention and not the mechanisms by which they treat.

12                   With that caveat, this is analysis of the lipid  
13 parameters, on-study lipid levels, 12 months after  
14 recruitment to the study, and looking at the proportion of  
15 treatment effect which is explained by those on-study lipid  
16 levels at 12 months with respect to coronary events from 12  
17 months over the next 5 years to the end of the study.  
18 Because this is a nonrandomized comparison, we adjust for  
19 baseline risk factors in all the models.

20                   The proportion of treatment effect, and here's  
21 the 95 percent confidence intervals, is the proportionate  
22 reduction in the treatment arm effect when one factors in  
23 not only the other baseline risk factors, but the  
24 particular lipid parameters.

25                   The point I want to particularly make -- a few

1 points, one of which is that the proportion of treatment  
2 effect explained by LDL, although it's 38 percent, has very  
3 wide confidence intervals. It might even account for the  
4 fact that none of the benefit of pravastatin was related to  
5 the LDL lowering.

6           Also, though, the importance of HDL and other  
7 parameters that might be there, and I guess to me the most  
8 outstanding example of the fact that it is not just LDL  
9 lowering that's important is VAhit, which shows a benefit  
10 with gemfibrozil in secondary prevention when there is no  
11 effect on LDL. So, I think that what this says to me is  
12 that we really have to say that there is a lot of  
13 uncertainty about what might be the extent to which LDL  
14 lowering is important.

15           What is fascinating to me is the fact that the  
16 guidelines are based on this very endpoint data, data from  
17 4S, data from West of Scotland, and these are the hard  
18 clinical endpoint data in LIPID, reduction in deaths,  
19 reduction myocardial infarction, stroke, need for  
20 revascularization. No evidence of any heterogeneity in  
21 treatment effect in any prespecified subgroup, and  
22 extraordinarily safe. No cases of rhabdomyolysis, et  
23 cetera.

24           DR. BORER: Steve?

25           DR. NISSEN: I take it from your comments then

1 you don't agree with the guidelines.

2 DR. TONKIN: No, I think that guidelines are  
3 guidelines. I myself am involved in generating and chaired  
4 our own working group in developing the LIPID guidelines in  
5 Australia, but they are guidelines. I think what we also  
6 say in the guidelines is that these actually define what  
7 might be reasonable practice. They are not prescriptive.

8 But I really do believe that there is much more  
9 data about hard clinical endpoints, much, much more data  
10 about safety, and the two aspects of the lipid-lowering  
11 guideline, if you like, in terms of secondary prevention,  
12 should treatment be started, an extraordinary wealth of  
13 data in terms of what should the goal be.

14 I think we need to await the trials that have  
15 been discussed. We know, for example, there is an effect  
16 on inflammatory markers. We haven't discussed that at all.

17 In CARE the benefits were restricted to those people who  
18 had high levels of CRP, serum amyloid A protein, et cetera.

19 I think that we've got to be very careful in not going  
20 beyond the endpoint data in saying that this is the  
21 mechanism by which the treatment is working.

22 DR. BORER: Thank you very much.

23 There's one additional safety issue that we  
24 didn't touch on this morning. In the sponsor's book, it  
25 was suggested that combined treatment could be given at any



1 time of day, and for safety with aspirin, it was necessary  
2 to take the aspirin with water. I'm not a  
3 gastroenterologist, but common clinical practice, at least  
4 where I come from, is to suggest that aspirin be taken with  
5 food. Until very recently, the labeling for pravastatin  
6 was that it should be given at night because of the  
7 somewhat greater efficacy at that time. So, there's some  
8 question about giving the combined product any time of day,  
9 or giving the two components at night, or at any other  
10 time.

11                   Beverly, you had pinpointed this issue, and  
12 Beverly actually got a copy of the new proposed label to  
13 look through, and perhaps you want to say something about  
14 this.

15                   DR. LORELL: Perhaps we could just hear a brief  
16 clarification from the sponsor. It's my understanding --  
17 and correct me if I'm wrong on this -- that in the LIPID  
18 trial Pravachol was given at nighttime. The current brand-  
19 new labeling for Pravachol in the instructions to the  
20 patient comments now that it can be administered as a  
21 single dose any time of day with or without food. However,  
22 in the paragraph describing the pharmacokinetics and  
23 metabolism, there is a discussion that says explicitly,  
24 "The efficacy of pravastatin administered once in the  
25 evening, although not statistically significant, was

1 marginally more effective than that after a morning dose."

2 It appears that information was acted on in the design of  
3 the trial.

4 With this issue of giving both drugs at the  
5 same time, although some patients do take their aspirin at  
6 night, I think that in general practice in the United  
7 States, it is the practice to take aspirin in the morning,  
8 not on an empty stomach at nighttime, and usually to take  
9 it with food. I guess as the chair brought up, it would be  
10 helpful to have some discussion in using a fixed  
11 combination about both the issue of time of day and what it  
12 should be taken with.

13 DR. BORER: Does the sponsor have a brief  
14 response to that, or any other committee members after  
15 that?

16 DR. BELDER: Yes. The efficacy of pravastatin,  
17 when given at night or in the morning, there was a  
18 difference of about 2 percent in LDL-C lowering, and our  
19 reason to broaden the label to dosing any time of day was  
20 based on that very marginal difference, and the fact that  
21 perhaps some patients like taking their medications in the  
22 morning instead of at night.

23 When the statins were first developed, there  
24 was the at least theoretical thought that since cholesterol  
25 synthesis primarily happens during the night that you would

1 expect a greater benefit, a greater efficacy when you would  
2 dose it at night, and that's basically how most of the  
3 trials were done. But if you then look back at the data  
4 that was actually generated, there was no evidence that  
5 there was a difference if you took it either twice a day,  
6 at night, or in the morning. The confidence intervals of  
7 the point estimates were all overlapping, and that's why we  
8 asked the FDA to change our label and we were granted to do  
9 so.

10 DR. BORER: Are there any other questions about  
11 this or any other issues before we go on to the formal?

12 DR. LORELL: [Question off microphone.]

13 DR. BORER: Well, the issue of the aspirin you  
14 mean? It becomes moot if you can actually take pravastatin  
15 any time of day with food. Then we can tell people to take  
16 aspirin however we want them to take it.

17 Alan, you'll be happy to see that you'll be  
18 opining again. The Cardio-Renal Advisory Committee is  
19 asked to opine on the benefits and risks of a fixed-dose  
20 combination product consisting of pravastatin and aspirin  
21 for use in patients who are prescribed these two products  
22 as individual entities. It's common knowledge that FDA  
23 will accept applications for fixed-dose combination  
24 products when two or more approved drugs are commonly  
25 prescribed together for convenience and perhaps for better

1 compliance.

2           In discussion of such products, we've said that  
3 availability of such convenience formulations should not  
4 alter health care providers' prescribing practices, that  
5 is, by not providing a full range of useful doses.  
6 Generally that means that a full range of dosing strengths  
7 of each individual entity should be available for the  
8 combination product, thereby providing convenience but not  
9 influencing selection of doses or dosing regimens of  
10 individual entities. And we've heard some discussion  
11 specifically about that point, and we will again in  
12 responding to the questions.

13           Further, the division has asserted that it  
14 should be well established that both entities should be  
15 taken concomitantly, since the existence of a fixed-dose  
16 combination product implies that they should be taken  
17 together, not just that they can be taken together.  
18 Generally speaking, the division has required for fixed-  
19 dose combination antihypertensive products that the effects  
20 of the combination, A plus B, must be greater than the  
21 effects of either one alone, A or B. Moreover, the effects  
22 of several doses of A in combination with several doses of  
23 B must be evaluated, often in a factorial trial, so that  
24 some description of the use of A plus B can be compared  
25 with either A or B alone.

1                   The sponsor has chosen a single dose of  
2 pravastatin, 40 milligrams, and two doses of buffered  
3 aspirin, 81 and 325 milligrams, to combine. Thus, there  
4 will be two formulations of the fixed-dose combination  
5 marketed, 40 milligrams of pravastatin with 81 milligrams  
6 of buffered aspirin, and 40 milligrams of pravastatin with  
7 325 milligrams of buffered aspirin. Although initial  
8 marketing will be accomplished by co-packaging,  
9 formulations of fixed-dose combinations have been prepared  
10 and are awaiting completion of stability studies. The  
11 fixed-dose combinations will be marketed as soon as data  
12 are available. Although the application is for a co-  
13 packaged product, the advisory committee is asked to  
14 consider the issue the same as that of marketing a fixed-  
15 dose combination product.

16                   Pravastatin is approved for use in: A, primary  
17 prevention in those individuals at increased risk for  
18 atherosclerosis-related clinical events as a function of  
19 cholesterol level, the presence or absence of coronary - I  
20 guess in the presence or absence of coronary heart disease  
21 and other risk factors; B, for secondary prevention of  
22 cardiovascular events, total mortality and stroke; and C,  
23 for the treatment of hyperlipidemia.

24                   Aspirin is for use in the following patient  
25 populations. Secondary prevention of death and stroke in

1 patients who have had transient ischemic attacks or stroke,  
2 all CNS indications related to thrombotic events. B,  
3 secondary prevention in patients who have survived a  
4 myocardial infarction, and C, patients who are suspected of  
5 having an acute infarction, patients with unstable angina,  
6 and patients who are having revascularization procedures,  
7 coronary or carotid, who have underlying occlusive vascular  
8 disease. Aspirin is given for life, according to the  
9 dosing and administration section, for patients who have  
10 had unstable angina or PTCA.

11           Given that preamble, can we define a patient  
12 population for whom pravastatin plus buffered aspirin would  
13 be indicated, and if yes, we need to define the population  
14 or populations. Second, are there populations where there  
15 might be net harm from giving both pravastatin and buffered  
16 aspirin together, and can we define some of those  
17 populations.

18           The committee reviewer is Alan Hirsch. Alan,  
19 why don't you go ahead and we'll see if everybody buys into  
20 your answers.

21           DR. HIRSCH: The reason Minnesotans like to  
22 opine is because we have lots o' pines in our state.

23           (Laughter.)

24           DR. HIRSCH: So, to start this off, it's easy  
25 to define the population. I think the sponsor has helped

1 us with that. This combination would be used in those  
2 individuals with established coronary heart disease, and  
3 though not explicitly stated, I think there is an  
4 assumption that it is also patients with established heart  
5 disease with an elevated cholesterol level.

6 DR. BORER: Okay. Is everybody reasonably in  
7 agreement with this? I think that's pretty much agreed  
8 upon.

9 And 1.2, are there patients where there might  
10 be net harm from giving the two?

11 DR. HIRSCH: The contraindications are, I  
12 think, in this case the same as the individual  
13 contraindications. There's no additive contraindication.  
14 So, no specific population beyond the individual.

15 DR. BORER: Susanna?

16 DR. CUNNINGHAM: I have a question. What I'm  
17 wondering, and I don't know that we have an answer to this,  
18 but I'm wondering if there is actually a combined  
19 preparation, that people will actually know that they're on  
20 aspirin. And therefore, if they were to have surgery or  
21 some other event where someone might say, are you taking  
22 aspirin, I'd like you not to take any, or the surgeon might  
23 request that, will people know? Because I think patients  
24 don't always understand what medications they're on, and so  
25 it's kind of a puzzle.

1 DR. BORER: So, that might be a population for  
2 whom at least transiently there would be net harm.

3 Steve?

4 DR. NISSEN: Actually, Susanna, it's a very  
5 perceptive comment. You know, it's been our practice to  
6 withhold aspirin for a period of days prior to cardiac  
7 surgery because there's very good prospective data to  
8 suggest that if you're on aspirin, your chances of having a  
9 major or even catastrophic intraoperative bleed are  
10 increased. That is a down side of the fixed combination,  
11 that both the physician and the patient -- it may tend to  
12 obscure a little bit what the components are. It's one of  
13 the reasons why in practice I tend to avoid fixed  
14 combinations because you may lose track of the individual  
15 components that you're giving.

16 Is it a huge issue? No, but it could be a  
17 problem and it could be even a lethal problem under the  
18 wrong circumstances.

19 DR. CARABELLO: Well, in that same vein, or  
20 artery, what we don't know is then what would be the down-  
21 side risk of discontinuing the statin agent, let's say  
22 three or four days ahead of time of surgery, considering  
23 its endothelial effects and other effects. I'm making this  
24 up, but it's possible that there would be risk involved.

25 DR. HIRSCH: This sounds like a labeling



1 question, but so we can go one step further, I want to make  
2 sure everyone heard me. The sponsor's proposed population  
3 was long-term management to reduce the risk of  
4 cardiovascular events in patients with clinically evident  
5 coronary heart disease. I added the phrase, with elevated  
6 cholesterol levels.

7                   Based on the discussion, I thought someone was  
8 going to stop me and say, moderately elevated cholesterol  
9 levels. Does anybody want to add a population based on  
10 that?

11                   DR. LORELL: Dr. Hirsch, I would welcome  
12 thoughts of others on the committee on that issue. I think  
13 the other issue on which I would welcome some comments from  
14 Ray is, he made a very, I think, clear statement that he  
15 would view appropriate use of this medication in line with  
16 the FDA's opinions that fixed combinations are usually used  
17 after safe and efficacious titration of the individual  
18 components.

19                   Since this affects so many patients in the  
20 United States, is this an indication issue or a labeling  
21 issue that clinically evident coronary heart disease  
22 following successful titration and safety in the use of  
23 Pravachol and aspirin?

24                   DR. BORER: This is not an indication issue  
25 really. The fact is that this is a convenience

1 preparation. There's nothing, as Bob said earlier, that  
2 would prevent you from giving an extra dose or changing the  
3 statin, adding a statin, doing something else, if you  
4 thought that your cholesterol goal wasn't being hit. So, I  
5 don't think it's an indication issue.

6           It might be, however, a concern in terms of  
7 altering usual practice, and that's something we're going  
8 to have to consider. Does the presence of the fixed dose  
9 combination make it less likely that doctors will do the  
10 titration? That's something that we're going to have to  
11 think about and give an opinion about.

12           Yes, Alan?

13           DR. HIRSCH: I'm going to try to take your  
14 point, Bev, which you've come at fervently, and take it one  
15 step further. We're asked as a committee to define the  
16 population and the approvability based on a really very  
17 elegant, well-done series of meta-analysis we'll get to in  
18 a minute, but in the absence of a prospective trial. So,  
19 another way of ignoring guidelines is to say, if we decide  
20 later that we have safety and efficacy data that should be  
21 applied to the population studied. In other words, the  
22 sponsor said there's 12.4 million Americans at risk and 10  
23 million who might not have contraindications. The question  
24 is, is that the population that this is going to be used  
25 for, or really is it the set examined in CARE and LIPID?

1 We'll come back to that later.

2 DR. LIPICKY: Just for the sake of the record,  
3 what you were asking about usually goes into the dosing and  
4 administration part of a label. So, it is always included,  
5 but it is in a different part.

6 DR. BORER: Yes, Bob?

7 DR. TEMPLE: I think the assumption on these  
8 convenience preparations, where you're hoping to get the  
9 effect of each drug in an appropriate population, is that  
10 the indications for pravastatin are unaltered. You do have  
11 to say something about using the two drugs together, for  
12 example, titrate separately or things like that. But the  
13 pravastatin-receiving population here should be the same  
14 people who get pravastatin any other time, one would think,  
15 except that in addition they need aspirin. Or someone  
16 thinks they need aspirin.

17 DR. BORER: Mike.

18 DR. ARTMAN: Along those lines, Bob, though,  
19 pravastatin is indicated and approved for primary  
20 prevention. I think there's controversy about the use of  
21 aspirin in primary prevention. So, I wonder about that  
22 population. And are we going to extend the use of aspirin  
23 in primary prevention?

24 DR. TEMPLE: No, we're not, until aspirin gets  
25 that claim.

1                   DR. BORER: The fact is that people can choose  
2 to take the two sets of indications and find out where they  
3 intersect and give the drug that way. I think that's fine.  
4 I don't think that's our big issue.

5                   Do we believe that the data support the concept  
6 that you could define such a population? And even though a  
7 randomized, controlled, prospective trial hasn't been  
8 performed, I think what we're hearing here is yes, you  
9 could define such a population. We might argue a little  
10 bit about what the edges are, but you could define such a  
11 population.

12                   I'd like to focus just a little bit more about  
13 populations for whom there might be net harm. We've heard  
14 a couple, and I want to ask the opinion of the committee  
15 about another, and then Dr. Kreisberg will have another  
16 opinion.

17                   That is, in the elderly on polypharmacy. I  
18 would suggest that we don't really have a lot of data about  
19 that population, and it's a relatively high-risk  
20 population, so I can't say that there's net harm in the  
21 whole population or subset of the population for whom  
22 aspirin and/or pravastatin might be indicated among people  
23 who are over age 75, if we accept that as elderly, or  
24 whatever we accept as elderly now. But I think that it's  
25 something that we ought to talk about a little bit, again,

1 in part because the average number of drugs that people at  
2 that age receive is at least five prescription drugs. I'm  
3 a little concerned about that, and I think we don't have  
4 enough data to be able to say.

5 Dr. Kreisberg?

6 DR. KREISBERG: Well, I'm not sure that this is  
7 directly relevant to what you've just discussed, but it  
8 seems to me that there's a lot of uncertainty vis-a-vis the  
9 NSAIDs and the cox-2 inhibitors that will also play into  
10 this, although that's not part of the issue that needs to  
11 be considered.

12 I'd like to amplify on Steve's comment about  
13 patients undergoing coronary artery bypass. That's  
14 actually a small subset of patients who are having  
15 procedures. There are dental procedures and there are  
16 minor dermatologic procedures and there are colonoscopies  
17 and a variety of other things that occur in these patients  
18 that will require a specific set of instructions or  
19 understanding about the inflexibility of being on this  
20 particular preparation when it comes time to temporarily  
21 discontinue a component of the pill.

22 DR. BORER: Ray?

23 DR. LIPICKY: Well, Jeff, your concern seems to  
24 me to be part and parcel of the individual entities.  
25 That's true whether it's a combined product or not. So,

1 I'm not sure that it's a specific concern for thinking  
2 about a fixed-dose combination.

3 DR. BORER: Yes, that's true. I don't think  
4 that the concern is specifically because of the fact that  
5 the drugs are combined, but if given in a combined product,  
6 we do have to be concerned, where we might not be so  
7 concerned if we could just give one or the other, which we  
8 can because this is a convenience product.

9 DR. LORELL: Question. I guess I'd like to  
10 hear your comments a little bit further. I hadn't thought  
11 about this point until it was brought up today about the  
12 notion of withdrawal of drug, but I think one of the points  
13 that your comment made me think about is that in the older  
14 patient there are many instances for procedures, when  
15 integrated over time, over six months or a year, where  
16 aspirin may be stopped for a period of anywhere from three  
17 days for the dentist, or for two weeks or more for a major  
18 operation.

19 It's an interesting comment, given the meta-  
20 analyses that we showed today, and were shown, which  
21 indicated a very persuasive effect of Pravachol in  
22 isolation without aspirin, that there is a protective  
23 effect there in those meta-analyses, although the effect of  
24 both is clearly greater.

25 So, I really hadn't thought about this until

1 today, but it raises the issue over a long period of time,  
2 the indication of long-term management, that there may be  
3 quite a substantive amount of time in some patients'  
4 existence where they would lose the protection even of  
5 Pravachol in isolation, in addition to aspirin. So, I  
6 think it's an interesting point to think on.

7 DR. BORER: Okay, let's go on to the second  
8 question. There are no data from any trial prospectively  
9 designed to test the hypothesis that pravastatin at any  
10 dose, plus buffered aspirin at any dose produced a better  
11 clinical outcome measured by any clinical endpoint than  
12 either pravastatin or buffered aspirin alone. Therefore,  
13 is that sufficient reason to cease consideration of  
14 approval of the fixed-dose combination product? In other  
15 words, is it necessary to have the results of specifically  
16 designed controlled clinical trials to consider approval of  
17 this fixed-dose combination product? If not, what might be  
18 sufficient. Alan?

19 DR. HIRSCH: I think this is an easy question.  
20 We wouldn't have a whole day of discussion if we didn't  
21 believe that we could look at the database that exists and  
22 consider it, but obviously it's preferred to have a  
23 prospective trial.

24 DR. BORER: Tom, do you have any thoughts about  
25 this particularly?

1 DR. FLEMING: I'm a little uncertain about the  
2 lead-in paragraph.

3 DR. HIRSCH: Where is he taking us in this  
4 question? Is there something we're missing?

5 (Laughter.)

6 DR. FLEMING: It's my sense that, of course,  
7 we'd all love to have had a two-by-two factorial design.  
8 It's clear, though, how things evolved in time. We had  
9 comparative trials of aspirin against nothing, and then  
10 with that being accepted, when pravastatin came along, we  
11 had comparative trials of pravastatin, yes versus no,  
12 allowing for what was in this case the majority of patients  
13 being on aspirin. So, those don't provide for us a  
14 randomized comparative assessment of one critical issue,  
15 which is, what does aspirin add to pravastatin.

16 But I would say they do provide us a randomized  
17 comparison of what does pravastatin add when you have a  
18 population of people who would be on aspirin. So, I would  
19 think at least one of the dimensions, we do have randomized  
20 trials.

21 DR. LIPICKY: That's correct. When I  
22 transmitted these questions by e-mail to get published, I  
23 chose the wrong file. And what you just said was part of  
24 the edit that I missed.

25 DR. BORER: Before we get to you, Steve,



1    though, why don't we deal with the sense of the question,  
2    though. We don't have a randomized, prospectively designed  
3    trial to test the effect of aspirin added to pravastatin.  
4    Is that a show-stopper, or can we deal with this?

5                   DR. FLEMING: I don't believe it's a show-  
6    stopper. Certainly we strongly urge randomized trials to  
7    give us far more interpretable data and a much greater  
8    sense of confidence in the results, but there certainly are  
9    settings where adequate evidence can be provided in the  
10   absence of randomized trials.

11                   DR. BORER: Steve?

12                   DR. NISSEN: I think as usual, Tom, you offer  
13   lots of wisdom there. I would suggest, however, that there  
14   are some issues, and that is that if we aren't going to  
15   have randomized data, prospective data, the data should be  
16   very solid, well documented, and fairly compelling.

17                   And there's something we didn't talk about very  
18   much today that does bother me. We really haven't the  
19   faintest idea what dose of aspirin was used, even what the  
20   range of doses were. We only know what the aspirin  
21   administration was at one time point, which is the time  
22   that it was assessed at the beginning of the trial. We  
23   don't know if people dropped in and dropped out of aspirin  
24   use during the course of the trial.

25                   So, I think the data is actually weakened

1 substantially from the level of evidence that I would like  
2 to see by the fact that we -- I mean, if they had annual  
3 assessment of concomitant medicines and could tell us at  
4 each year of the trial who was on and who was not on  
5 aspirin -- I didn't see any of that data today.

6 DR. BELDER: We have the data.

7 DR. NISSEN: Well, you didn't provide it us.

8 DR. BELDER: You didn't ask for it. I did say  
9 that 97 percent of the patients who were taking aspirin at  
10 baseline were still taking aspirin at the end of the trial.

11 I said a couple of times that the patients who were not  
12 taking aspirin, there was significant drop-in rate. If you  
13 want to see the data, we can show you the slides.

14 DR. NISSEN: There was or was not a drop-in  
15 rate?

16 DR. BELDER: There was a drop-in rate. We can  
17 show you the data.

18 DR. NISSEN: I don't know whether we can do  
19 this now or not, Jeff, but to me, if there is a lot of  
20 drop-in and drop-out, it's a significant confounding  
21 variable. I don't know, Tom, if you could help me with  
22 that, but does it confound the data?

23 DR. FLEMING: It's certainly relevant when I  
24 think in terms of what we didn't get by not having a  
25 randomized trial. Two of the features are that, on the one

1 hand, we don't have the assurance that those on  
2 intervention, in this case aspirin and those not aspirin,  
3 are comparable in ways other than they're receiving  
4 aspirin.

5           The other feature is one you're getting at,  
6 Steve, and that is we would ideally like to have had a  
7 better managed adherence to the interventions. My own  
8 sense about that, though, is if we're relying on these  
9 14,000 patients from LIPID and CARE to not only address the  
10 question they're obviously designed to address, which is  
11 what does pravastatin do, and in most cases in addition to  
12 aspirin, but we're also going to use it to try to learn  
13 what does aspirin do in addition to pravastatin -- my own  
14 sense is if we had actually designed that as a factorial  
15 design, we probably would have had more adherence to the  
16 distinction between being on aspirin versus not being on  
17 aspirin.

18           In this setting if there is -- we're hearing  
19 that in fact those on aspirin, to a great extent, did  
20 continue to adhere. We're hearing it was 97 percent.  
21 We're hearing, though, that the aspirin patients did have  
22 cross-ins. Wouldn't that dilute the effect that we would  
23 be looking for? As a result, if you ended up seeing an  
24 effect of those on aspirin and pravastatin versus those on  
25 pravastatin alone at baseline, wouldn't the sense be that

1 this is then good evidence that there is an effect? It  
2 would have been even larger had there been better adherence  
3 to non-aspirin?

4 DR. BERRY: Mr. Chairman, may I address that?

5 DR. BORER: No, not at this moment, please.

6 I'm sorry, it's my fault. I should have pointed out that  
7 once we begin the questions, this is a committee  
8 discussion. If we need more information, we'll ask for it.

9 Dr. Pedersen, you wanted to make a comment  
10 here?

11 DR. PEDERSEN: Well, under these circumstances  
12 it may not be appropriate that I comment, but I was just  
13 thinking that it would be really too much demand a large-  
14 scale randomized clinical trial with a combination,  
15 considering the cost and the resources required to do such  
16 a trial.

17 However, since the main argument for bringing  
18 this combined treatment to the market is that it will  
19 increase the compliance with treatment and also the  
20 proportion of the population to be treated, one would think  
21 that a trial to prove that might be appropriate. And a  
22 trial of compliance wouldn't need more than maybe 100-200  
23 patients, looking at proportion of patients reaching  
24 certain LDL targets, proportion of patients actually taking  
25 aspirin. You could randomize to the combined treatment or

1 to the usual care, and that would be a simple and  
2 inexpensive trial.

3 DR. BORER: Alan, and then Bob.

4 DR. HIRSCH: I just want to reemphasize a point  
5 for the completeness of the discussion, I think, that Tom  
6 made, and in a sense defend my colleague to my left, which  
7 is, we looked very carefully at the data for treatment, and  
8 I must say I also was not quite aware, other than hearing  
9 the 97 percent number, that I knew the aspirin compliance  
10 rates.

11 The question we're asked is, in the absence of  
12 a prospectively designed trial, can we consider approval of  
13 a combination product with these kind of data. I think  
14 that we are going to be, especially if we vote yes,  
15 increasingly faced with questions. There are these two  
16 anti-ischemic or anti-atherosclerotic interventions. Can  
17 they be combined? That's where we started today.  
18 Increasingly, there will not be prospective randomized  
19 trials. So, this question will, I think, arise again.

20 So, I think the sense of the committee, despite  
21 an elegant presentation and a wonderful data set, is that  
22 when there's two treatments in a trial that are going to be  
23 expected to be combined, I think this committee probably  
24 would like to see compliance rates clearly prospectively  
25 collected and presented, so we can have a higher level of

1 confidence.

2 DR. BORER: I think Bob has a comment about  
3 this, but I do want to make the point that nothing in any  
4 law or regulation says that you need to have randomized,  
5 prospectively designed, placebo-controlled or any other  
6 controlled trials. It just says you have to have adequate  
7 evidence, and I think that what Tom is suggesting.

8 And what I think I'm hearing from around the  
9 table is that this issue is not a show-stopper. You could  
10 use this kind of evidence, but the confidence that we have  
11 in the precision of the conclusions that we draw or the  
12 accuracy of the conclusions we draw is less than what we  
13 might have or would like to have, certainly with  
14 prospectively designed trials.

15 Bob?

16 DR. TEMPLE: We actually, in most cases where  
17 it was considered an issue, have asked for randomized  
18 trials showing the contribution of each. But sometimes,  
19 for example, you already know that one of the components  
20 doesn't contribute. So, for Sinemet, you don't really need  
21 to show that carbidopa doesn't have an effect in  
22 Parkinson's disease. It's not intended to. So, all you  
23 have to do is do the two components, showing that one adds.

24 So, one of the questions here is, where are we?  
25 Are we at the level of, well, we know that, as the

1 question is asked, because it's obvious, because they've  
2 done these meta-analyses that strongly support the  
3 argument, or do you actually need a trial? Of course, the  
4 difficulty here is nobody is going to do that trial.  
5 You're not going to leave aspirin out.

6 I want to make a couple of observations. One,  
7 to the extent you think compliance is a problem, as Tom  
8 said, that weakens the association. If you still find the  
9 association, that's not an argument against it, although we  
10 may need to inquire just who was counted as being on  
11 aspirin. Does that mean aspirin once, aspirin ever,  
12 aspirin all the time? I can't tell the answer.

13 DR. HIRSCH: But Bob, the noncompliance issue  
14 works against efficacy but also impedes the safety  
15 analysis.

16 DR. TEMPLE: Well, again, it's not that you  
17 might not want to worry about it, but all the advice people  
18 give everybody in the world is, if you need these two  
19 drugs, take them. So, why do you have a new safety  
20 question about low-dose aspirin? It's the same aspirin  
21 that 90 percent of the population is supposed to take. So,  
22 I'm not sure why that's a new question.

23 I just want to make an observation and see what  
24 you think about it. It's sort of a problem. It's the  
25 difference between doing something under the FDA rules and

1 doing something just because you're a knowledgeable expert.

2 The whole world tells everybody, take aspirin, take a  
3 lipid-lowering drug, take ramipril if you need it, get your  
4 blood sugar controlled. And they just do that and they  
5 give advice and everybody follows it because it seems  
6 sensible.

7 When someone comes to us asking to put those  
8 into a fixed combination, we say, well. And I think that's  
9 appropriate because marketing something for a particular  
10 reason does mean that you have a particular reason for  
11 using those drugs together, and we've always taken that  
12 position.

13 I do just want to point out that that raises a  
14 problem when it becomes impossible to demonstrate the  
15 effect in a formal randomized trial. I don't think you'll  
16 find anybody who will do a trial leaving aspirin out of an  
17 appropriate population. I don't believe I'd allow myself  
18 to be randomized, and I usually take that to mean that most  
19 people wouldn't like that trial.

20 So, the question is what we do in a situation  
21 like that. Does that mean you just can't do it? Which is  
22 not an impossible conclusion. Or do you find other data  
23 that you do your best to probe?

24 DR. LIPICKY: You've said that you can do it,  
25 and so it's time to go to question 4.



1 DR. BORER: Right, but it's question 3 we're  
2 on. Thank you.

3 DR. FLEMING: Just briefly, though, before we  
4 go on. In 2.2 I'd just like to briefly add. Whereas in  
5 2.1 as we've said, it isn't a show-stopper, I would like to  
6 reinforce what Steve was saying, in a sense as an answer to  
7 2.2, if not, what might be sufficient? In my own sense,  
8 what might be sufficient, of course, is something that  
9 would be somewhat setting-specific, but if one has  
10 randomized trials for certain elements, and one has for  
11 other elements randomized trials in sufficiently closely  
12 related settings, and if one has observational studies and  
13 properly conducted meta-analyses where, by properly  
14 conducted, I mean using a choice of studies and a choice of  
15 endpoints that all of us would accept are an appropriate  
16 representation of relevant data, and if those analyses  
17 provide very strong evidence of benefit, and if in addition  
18 to that, one has very strong biological evidence based on  
19 complementary mechanisms of action, that's an illustration  
20 of some of the types of information that could be  
21 persuasive in the absence of formal randomized trials for  
22 all of the elements.

23 DR. BORER: Okay. Now we'll go on to 3.0. One  
24 could argue that for the patient population you've defined  
25 since the purported mechanisms of action for the

1 demonstrated clinical benefit of each agent are very  
2 different, something to do with lipids for pravastatin,  
3 maybe even something more than that, and something to do  
4 with platelets for aspirin, and maybe something more than  
5 that, showing that there were no important pharmacokinetic  
6 or pharmacodynamic interactions using surrogates would be  
7 an adequate basis for approval of the fixed-dose  
8 combination product.

9 Do you agree with this, and if so, are there --  
10 well, first let's see. Do you agree with this, Alan?

11 DR. HIRSCH: I found the question again to be  
12 intriguing because I think we were told at the beginning  
13 that we should be thinking about fixed combinations in the  
14 context not just of the lack of interaction but also in the  
15 context of finding some evidence that there's beneficial  
16 clinical synergy or benefit in compliance.

17 DR. BORER: No.

18 DR. LIPICKY: No.

19 DR. TEMPLE: Synergy is too much to expect.  
20 It's rarely encountered. You just want to know that the  
21 two drugs do something that neither drug does alone.

22 DR. LIPICKY: This was written in the sense  
23 that you know aspirin works and you know pravastatin works,  
24 that you have the trials that demonstrate that. If you  
25 know those two things, which is the basis for people

1 prescribing them both, would you be satisfied for purposes  
2 of a fixed-dose combination, with something less than  
3 bodies? Namely, there's no pharmacokinetic interaction,  
4 and the platelet effects of aspirin aren't blocked, and the  
5 lipid-lowering effects of pravastatin aren't blocked. This  
6 is a hierarchical question, to try and find out what's  
7 enough.

8 DR. HIRSCH: Well, so I'll answer that, and I  
9 was ambivalent. I was probably trying to dodge an answer.

10 DR. FLEMING: Before you do, could I just also  
11 ask just to make sure that I'm understanding this, Ray? My  
12 understanding of this question says, suppose you have done  
13 properly controlled trials that establish each of the  
14 individual components is effective individually.

15 DR. LIPICKY: No. It is in the sense that Bob  
16 is saying now.

17 DR. TEMPLE: Let him finish. He's going to get  
18 to where you want him to.

19 DR. FLEMING: And if in fact you have properly  
20 controlled studies that show each of these components is  
21 effective individually, and if you then have data on PK and  
22 PD that indicates there's no interaction, are those sources  
23 of information alone adequate without knowing anything  
24 about combination efficacy?

25 DR. LIPICKY: That's correct. Without ever

1 doing the meta-analysis.

2 DR. TEMPLE: Together with the fact that they  
3 work in a completely different way, which you might choose  
4 to believe means that their independent effects will be  
5 manifested, even without measuring that. That's the  
6 question.

7 DR. HIRSCH: I'm going to keep the discussion  
8 going by simply charging in and saying I waffle. It might  
9 be under certain circumstances.

10 DR. LIPICKY: Under this circumstance.

11 DR. HIRSCH: This very circumstance?

12 DR. LIPICKY: Yes.

13 DR. HIRSCH: I would not be personally prone  
14 yet.

15 DR. BORER: That means no.

16 DR. HIRSCH: It's a no.

17 DR. BORER: We'll go around and get some  
18 comments about this because this is an important point.

19 And I'll tell you that I certainly wouldn't  
20 agree with this either, that this alone would be adequate  
21 because there are several other issues that we're going to  
22 get into, specifically one that was highlighted in your  
23 preamble about affecting practice patterns that would be  
24 necessary to make some judgment about in order to determine  
25 whether the specific combination on the table was

1    approvable.  There may be other issues as well, but the  
2    point is, I wouldn't agree with this.

3                   Steve, you want to make a comment?

4                   DR. NISSEN:  Yes.  I would emphatically think  
5    it's not adequate, and I'm going to give you an example,  
6    although it's a controversial one.  There's some data out  
7    there that suggests that aspirin works, that ACE inhibitors  
8    work, and there's also some data that suggests that when  
9    you give ACE inhibitors with aspirin, it reduces the  
10   effectiveness of the ACE inhibitor.

11                   Now, I think that's controversial, but there  
12   certainly are plenty of examples out there where two drugs  
13   that independently are active, that when combined, if  
14   studied carefully enough, would show less combined effect  
15   than the individual components.  So, I would feel very  
16   strongly that we should not set the standard so low.

17                   DR. BORER:  But this question specifically  
18   states there are no important pharmacokinetic or  
19   pharmacodynamic interactions.

20                   DR. NISSEN:  Yes, but it doesn't have to be  
21   pharmacokinetic or pharmacodynamic.  It can be biological.  
22   That somehow or other, that biologically, when you combine  
23   two drugs, it does something you didn't anticipate to the  
24   biological system that makes one or another of the  
25   components work less well, and it has nothing to do with PK

1 or PD.

2 DR. HIRSCH: It could be biobehavioral. It  
3 could be, again, how the patient actually then, therefore,  
4 is taking the two tablets and how they're given or  
5 withdrawn in real life.

6 DR. THOMPSON: Anything is possible, but we  
7 don't have any evidence to suggest that, and do we put any  
8 credence into the fact that this is a common, widespread  
9 clinical combination, that every one of us as clinicians  
10 would do? How does that figure into this?

11 DR. BORER: Just one second, Paul. I think  
12 that the issue here is first a generic one, and second,  
13 applying it to this particular concern. I think what we're  
14 hearing from Steve and from Alan and from me so far is that  
15 no, for perhaps different reasons, just knowing that there  
16 are no pharmacokinetic and no pharmacodynamic interactions  
17 of the two entities isn't sufficient by itself as a basis  
18 for approval. It might be, but it isn't sufficient.

19 DR. TEMPLE: Can we just tease two parts of  
20 that? You're going to come back to the question of whether  
21 the fact that they're in a fixed combination screws up your  
22 ability to use them properly. Perfectly good question. I  
23 think this was intended to ask, do we know that these  
24 drugs, used properly, will have an additive effect because  
25 they work differently and because they're well studied

1 alone.

2                   And I've heard several different answers. Your  
3 particular answer was, well, I might believe they would  
4 work, but I'm very worried about whether I'm going to  
5 change people's behavior. Perfectly good question. See,  
6 you could have a well-designed factorial study and still  
7 worry about that.

8                   So, they're really a separate question. One  
9 is, do I know that the two will work. A second very  
10 interesting question is, will people use these properly if  
11 they're available in a fixed dose? But I think that's a  
12 separate question.

13                   DR. BORER: Beverly?

14                   DR. LORELL: Well, I think that in adhering to  
15 the strict wording of this question, and in responding to  
16 Ray's comments, I think we were provided with very clear  
17 data regarding the peak levels of the two drugs when given  
18 at the same time, and the area under the curve. I don't  
19 think we were quite provided with one of the things that  
20 Ray mentioned that I would like to have seen, and that was  
21 that giving the two drugs at the same time does not modify  
22 standard indices of aspirin's effects on platelet  
23 activation and aggregation. It may not, and my best guess,  
24 if I had to make a guess -- does it? The answer is  
25 probably no. But we weren't shown that data.

1           In argument that it should be shown, there are  
2 studies, not with this combination but with other agents  
3 that have been in the literature recently, suggesting that  
4 the timing of when one gives common other used drugs, in  
5 addition to aspirin, can profoundly modify the  
6 pharmacokinetic activity of aspirin on the platelet.

7           So, this is not a minor point if in the trials  
8 Pravachol was given in the evening, and in large amount of  
9 patient practice, they can't tell us how and what time  
10 aspirin was given, but it is widespread practice for  
11 aspirin to be given about 12 hours apart in the morning.

12           So, I would like to have seen pharmacokinetic  
13 data demonstrating very clearly that when you give both  
14 together you're obligated, you're getting them there at the  
15 same time, that you don't alter the pharmacokinetic  
16 activity on the platelet.

17           DR. BORER: What if you had had those data?  
18 What if you had, by whatever standard you wanted to set,  
19 adequate pharmacokinetic and pharmacodynamic data  
20 indicating no interaction? Would that alone be sufficient?

21           DR. LORELL: I think this question is asking  
22 something different. It's saying, are there sufficient  
23 data to support the presence or lack --

24           DR. BORER: No. That's 3.1. 3.1 is, do you  
25 agree with this, which is the statement that the surrogate



1 pharmacodynamic data and pharmacodynamic data, if you had  
2 them, would be adequate. Then we go to this product.

3           So, would you agree with the idea that you  
4 could approve a combination of two different drugs, drugs  
5 that presumably act differently, if you know that there are  
6 no pharmacodynamic and pharmacokinetic interactions. Then  
7 we get to the issue of whether that applies to this drug.  
8 Would you accept?

9           DR. LORELL: It would have helped me to say  
10 there are both sufficient data to support the lack of a  
11 significant interaction, as well as it would have helped me  
12 think about answering number two.

13           DR. LIPICKY: But the question that was  
14 answered was I don't care if they had it, that wouldn't be  
15 enough. So, it's a matter of would that be enough if they  
16 had whatever it was you wanted. Then you deal with do they  
17 have this and do they have this.

18           DR. LORELL: I would say it's a component of  
19 additional data I would like to have.

20           DR. LIPICKY: No. Enough, enough.

21           DR. LORELL: It wouldn't have been enough in  
22 isolation.

23           DR. LIPICKY: That's the question.

24           DR. HIRSCH: Let's take each part of the  
25 question in turn and come around.

1 DR. BORER: Okay. What Beverly has just said  
2 is that these data alone would not have been enough.

3 Susanna?

4 DR. CUNNINGHAM: I'm just curious. I believe  
5 that this says a lipid effect and the platelet effect, but  
6 I believe, but I don't know for sure -- and somebody else  
7 can give me more information -- that both drugs also have  
8 an anti-inflammatory effect. And what do we know about  
9 their interaction in terms of enhancing each other's action  
10 as in anti-inflammatory drugs?

11 DR. BORER: My guess is we know very little.  
12 But again, I think just in terms of getting through this  
13 thing efficiently, let's say we knew all that stuff. Would  
14 knowing that there were no important pharmacokinetic  
15 interactions and no pharmacodynamic interactions in two  
16 molecules that act differently be enough to allow approval  
17 of putting them together in a fixed-dose combination to be  
18 given to people? Several people have said, no, that's not  
19 enough.

20 Then we go on to are there sufficient data  
21 here. If it wouldn't be enough, you don't have to go on to  
22 ask if we had sufficient data here.

23 DR. CUNNINGHAM: I don't know if I know enough  
24 to answer that.

25 DR. BORER: Okay.

1                   How about the others at the table who haven't  
2 commented yet? Mike?

3                   DR. ARTMAN: I think I agree with the sort of  
4 sense of unease that I've heard so far, and I would say no.

5                   DR. BORER: Blase?

6                   DR. CARABELLO: Yes, I also would say no.

7                   DR. BORER: Tom?

8                   DR. FLEMING: I say no, but I would like to be  
9 real precise about what I'm saying no to.

10                   My interpretation of this question, right or  
11 wrong, is if we know we have two agents and individually we  
12 know that those two agents are effective, and in addition  
13 to that now we're adding that we know that they have  
14 purported different mechanisms of action and we have done  
15 PK and PD studies to show no interaction, is that  
16 information in its own right adequate to approve a fixed-  
17 dose combination?

18                   By the way, I would say all of those pieces are  
19 very important to ultimately having what's adequate, but  
20 those pieces themselves aren't sufficient in my view.  
21 There is additional insight I would like to have directly  
22 clinically about what the combination does as the  
23 additional piece to add on to those important elements to  
24 come up with what is sufficient. Hence, with that  
25 interpretation of the question, my answer is no.

1 DR. BORER: Paul?

2 DR. THOMPSON: Yes. I would say that there's  
3 sufficient data to answer 3.1.1, that there's a lack of  
4 significant pharmacokinetic interaction, and I would  
5 suggest that --

6 DR. BORER: But we're not at 3.1.1. We're at  
7 3.1. Do we agree --

8 DR. THOMPSON: No, I don't agree with that.

9 DR. BORER: Okay. Then we don't have to go to  
10 3.1.1 because if you don't agree those data would be  
11 enough, then we don't have to determine whether they have  
12 those data or not yet.

13 Dr. Kreisberg?

14 DR. KREISBERG: My answer is no.

15 DR. BORER: No, okay. So, I think it's  
16 unanimous. Everybody said no.

17 Now, Ray, do you want a response to the  
18 subsidiary questions?

19 DR. LIPICKY: No.

20 DR. FLEMING: Could I have a clarification of  
21 that?

22 DR. BORER: Yes.

23 DR. FLEMING: At least in my own answer, I said  
24 those conditions aren't sufficient, but they are certainly  
25 relevant to ultimately what I want to consider as what may

1 be sufficient.

2 DR. BORER: So, you may get back to 3.1.1.

3 DR. FLEMING: Eventually we're going to have to  
4 answer 3.1.1 and 3.1.2. At least I want to answer 3.1.1  
5 and 3.1.2.

6 DR. LIPICKY: Well, we don't want your answer,  
7 Tom.

8 (Laughter.)

9 DR. BORER: And as advisors we can only give  
10 the advice we're asked for.

11 DR. TEMPLE: Jeffrey, it is important and it's  
12 important to other potential convenience preparations  
13 because there's always going to be a rationale like this.  
14 You know, one lowers lipids, one lowers this, one lowers  
15 that. And so, figuring out how far you think we should go  
16 with that information alone is of considerable interest to  
17 us. But I'm sure, as Tom was about to say before he was  
18 interrupted, you can keep those things in mind even while  
19 you consider the adequacy of the other data. I'm sure  
20 everybody will.

21 DR. LIPICKY: And there isn't any question  
22 about the importance of all that stuff. I'd just like to  
23 get to question 5.

24 DR. BORER: That's good. Well, we're at  
25 question 4 right now actually.

1           The sponsor has provided three different meta-  
2 analyses, data from five placebo-controlled trials, the  
3 total number of randomized patients being 14,617, that  
4 address whether or not administration of pravastatin plus  
5 buffered aspirin has a greater effect than either buffered  
6 aspirin or pravastatin alone. Some of the selected trials  
7 required that patients have greater than normal levels of  
8 serum cholesterol; others did not.

9           4.1. Do these 14,617 randomized patients  
10 represent a reasonable approximation of the patients for  
11 whom this combination product would be indicated?

12           Alan?

13           DR. HIRSCH: Yes, but I was again very bothered  
14 by the relative lack of women and minorities, and when we  
15 talk about generalization to the American population, we've  
16 got to do better. But knowing that the general database we  
17 always look at is not much better than this, I'll say yes.

18           DR. BORER: What about the fact that there were  
19 upper limits on cholesterol levels?

20           DR. HIRSCH: My understanding of the word  
21 "reasonable" is not all-inclusive, broadly representative,  
22 but let's hear everybody else's opinions.

23           DR. BORER: Steve?

24           DR. NISSEN: It weakens it a little bit, not a  
25 huge amount. You'd like to have all comers, but these

1 trials, at the time they were designed, were designed  
2 around, some of them, fairly narrow ranges. We heard from  
3 Dr. Pedersen that a quarter of the patients that come in  
4 with myocardial infarction have LDLs of over 200, and those  
5 people would have been excluded from at least some of these  
6 trials.

7                   So, I think when you pull all these patients  
8 together in a meta-analysis and you've restricted the lipid  
9 range for some of those components, it's a source of  
10 uncertainty. I don't think it's a huge source of  
11 uncertainty, but there is some uncertainty related to that.

12                   DR. BORER: But the sense is that this is not a  
13 show-stopper either I take it. Does anybody disagree with  
14 that or have any other opinion about this?

15                   (No response.)

16                   DR. BORER: Let's go on to 4.2 then.

17                   From the results of the meta-analyses, do you  
18 conclude that the data show that pravastatin plus buffered  
19 aspirin has a greater effect than either buffered aspirin  
20 or pravastatin alone? And there are two subheads to that,  
21 and I'll read them first because I think the answer is all-  
22 inclusive here.

23                   Using as a standard of two trials at a p less  
24 than .05, is the strength of evidence from the meta-  
25 analysis as strong as this standard?

1                   Using as a standard of one trial at a p less  
2 than .05, is the strength of evidence from the meta-  
3 analysis as strong as this standard?

4                   Alan, why don't you go ahead, and if there are  
5 some technical issues, we'll ask Tom to comment as well.

6                   DR. HIRSCH: I've learned to give the yes/no  
7 first and then to opine. So, I think the answer is clearly  
8 no, but let me just say why.

9                   Both in the FDA briefing document, as well as I  
10 think what Tom said initially, there are many reasons why  
11 meta-analyses cannot hold the weight of a prospective trial  
12 in general, and for me, reviewing the application, although  
13 I clearly see an efficacy signal for aspirin with  
14 pravastatin and not as strong by itself, there's always  
15 this weakness in being able to interpret data in a meta-  
16 analysis form which I think is also evident in this very  
17 robust meta-analysis. So, the answer is no.

18                   DR. BORER: Tom?

19                   DR. FLEMING: Well, this certainly is a  
20 difficult issue, difficult question to answer because those  
21 of us who believe strongly in the greatly enhanced  
22 interpretability of randomized trials struggle mightily  
23 when we're faced with a situation such as this. And there  
24 is substantial evidence here and there is a strong  
25 motivation or rationale for why the more complete access to



1 fully randomized data would be difficult to achieve,  
2 although I'll also argue the fact that it's difficult to  
3 achieve something doesn't mean having less than reliable  
4 evidence makes it any more reliable.

5           But I guess my overall sense here, when I look  
6 at the data that's been provided, is we look at the  
7 progression in clinical practice that led to the nature of  
8 the trials that were conducted that provide the evidence  
9 that we need to answer this question. So, initially we  
10 began with aspirin in placebo-controlled studies and my  
11 sense is even though there is some diversity in the level  
12 of effect that those trials have established for aspirin,  
13 when compared to controls, that when one looks at the  
14 aggregate of evidence, I think there is substantial  
15 evidence establishing the effect of aspirin in randomized  
16 trials when looking at it as aspirin versus control versus  
17 nothing.

18           Aspirin then became quite widely used, and then  
19 when pravastatin came and the trials that were being done  
20 were assessing the effect of pravastatin, even though in a  
21 sense I would have loved to have seen a factorial design  
22 conducted at that point, where patients were randomized to  
23 aspirin yes-no/pravastatin yes-no, I can understand the  
24 rationale by those who were designing the trials to believe  
25 that aspirin would be important to provide at least for the

1 clinical caregiver to choose, whether or not they would use  
2 it. As a result, those studies, and most specifically CARE  
3 and LIPID, provide I think a very proper and reliable  
4 assessment of what pravastatin adds to aspirin, but  
5 obviously aren't designed to provide a reliable conclusion  
6 about what aspirin does.

7                   We're left, in answering this question, with  
8 the need to look at the aggregation of available evidence  
9 to answer two questions. What does pravastatin add to  
10 aspirin? What does aspirin add to pravastatin? Are they  
11 both integral to the combination?

12                   I think doing some kind of meta-analysis  
13 formally or informally is a very appropriate way to  
14 proceed. Of course, there's always the challenge, as has  
15 been clearly and appropriately identified by the FDA  
16 review, that when you do a meta-analysis, it's important  
17 for us as consumers of that information to be confident  
18 that this is a representative summary of relevant  
19 information rather than a retrospective choice of those  
20 specific studies, subpopulations, and endpoints that might  
21 best defend or achieve a conclusion that those that are  
22 conducting the analysis would like to achieve.

23                   My sense is that if we're looking at the  
24 question that I defined, it is certainly relevant to focus  
25 on those studies that the sponsor has put forward, but I'm

1 open and very interested in comments from my colleagues if  
2 they think a different choice would have been more  
3 appropriate. I believe the focus on CARE and LIPID is a  
4 very logical and appropriate focus here.

5 I also think with the cross section of  
6 endpoints that we've been presented here, which are  
7 basically CHD death, fatal/nonfatal MI, ischemic stroke,  
8 and the combination that includes revascularization, that  
9 that is the array of relevant clinical endpoints as well.  
10 So, I'm not particularly troubled by either of those  
11 features.

12 So then I'm, as a result, comfortable in  
13 looking at these data particularly in the sense that they  
14 were designed. They were designed to address specifically  
15 whether pravastatin, in a randomized fashion, adds, and in  
16 most cases, adds to aspirin. There are many summaries, but  
17 if we look at the model 1 analysis that the sponsor  
18 provides, which is the traditional Cox regression analysis,  
19 and we see the data on C-11, C-12, and C-13, we see a  
20 summary in the yellow bars on those slides as to what the  
21 data are showing us about what the effect is of pravastatin  
22 when added to aspirin. And we see, I think, consistent  
23 evidence of benefit across all of the endpoints.

24 In particular, when we then divide this in the  
25 next slide into LIPID versus CARE to see, whether or not,

1 in the spirit of are there two studies that are adequate  
2 and well-controlled at the .05 level, I see evidence that I  
3 view to be adequately convincing. So, when I look at this  
4 source of information, I'm persuaded that the standard for  
5 strength of evidence has been met for establishing that  
6 pravastatin adds to aspirin.

7 Well, that was the easy part. The tougher part  
8 is if we have to rely on this same source of information,  
9 is this adequately convincing that aspirin is integral and  
10 it adds to pravastatin.

11 I struggle greatly with this when one looks at  
12 the information that's presented here, which are the blue  
13 lines -- and in particular, slide C-13 presents for these  
14 three primary endpoints, what is the strength of evidence  
15 for what aspirin adds to pravastatin, looking separately at  
16 LIPID and CARE -- I see evidence, which if I can view this  
17 to be reliable -- i.e., if this were from randomized  
18 comparisons -- I would view that this strength of evidence  
19 is definitely convincing to me that aspirin is, in fact,  
20 integral as well to the effect of the combination.

21 So, that leaves me then with one final dilemma,  
22 and that is, these aren't from randomized trials. What is  
23 the plausibility that these differences, in fact, could be  
24 more due to the systematic differences in patients who  
25 chose to use aspirin versus chose not to use aspirin as

1 opposed to the actual effect of aspirin itself? That's an  
2 incredibly difficult question to answer.

3 I could be readily persuaded that those people  
4 who would be put on aspirin wouldn't be randomly done, but  
5 I could also be persuaded, although there's really no  
6 evidence in the covariates that we have, that those people  
7 who were put on aspirin might, in fact, have been more ill.

8 The other feature here -- and it comes back to  
9 something Steve said, but actually it makes me a little  
10 more confident in these data -- is we don't have the same  
11 level of confidence and adherence to the aspirin versus  
12 non-aspirin, and it's the point that was reiterated by Bob  
13 Temple. If anything, that would lead me to think that we  
14 might be underestimating the effect.

15 What we have in these analyses is the ability  
16 to look not only at what aspirin adds to pravastatin but  
17 what aspirin adds to nothing, although it's not as reliable  
18 because it's not in a randomized trial. But what's  
19 interesting is when you look at what aspirin adds to  
20 nothing, you're getting an underestimate of effect. And  
21 this was an issue that I was probing at some length  
22 earlier, the cup half full/half empty.

23 It made me, in the cup half empty, a little  
24 more skeptical about what we could say about what aspirin  
25 adds to pravastatin when we see evidence about what aspirin

1 adds to nothing as being less than what we would have known  
2 from the randomized trials.

3           But the cup half full says to me, well, but  
4 this is consistent with an underestimate of effect that  
5 could readily be achieved if those that are being  
6 administered aspirin are, in fact, if anything, somewhat  
7 more seriously ill or at higher risk, and if those on the  
8 control arm had a greater propensity or likelihood of  
9 crossing in.

10           So, when I think about all of those features,  
11 it actually leads me to think that the evidence here from  
12 this nonrandomized comparison surely is far less reliable  
13 than that I would have from a randomized comparison, but  
14 the things that I can think of that are the likely  
15 systematic biases would tend to make me think that we're  
16 getting an underestimate of effect, and the levels of  
17 effect that we're seeing, if they were from randomized  
18 trials, would meet my sense of standard for strength of  
19 evidence.

20           So, when all is said and done, as rarely as it  
21 is for me to be able to say something that isn't randomized  
22 probably is adequately convincing when one considers all of  
23 this and the fact that you have different mechanisms of  
24 action, I think I am persuaded, when I look at all of this  
25 information, that yes, each of these components is

1 contributing.

2 DR. BORER: Ray, it really isn't necessary, is  
3 it, for us to answer precisely 4.2.1 and 4.2.2? I don't  
4 think we can provide an equivalence answer. I think either  
5 we'll all agree with Tom that the data are adequately  
6 compelling to convince us that both components are integral  
7 to the combined effect or we're not.

8 DR. LIPICKY: But Tom gave sort of a binary  
9 qualitative answer. I'd like a little bit of a  
10 quantitation with respect to the confidence you have in the  
11 conclusion you drew.

12 DR. BORER: Okay.

13 DR. LIPICKY: And that's what 1.1 and 1.2 are  
14 devoted to. It doesn't need much discussion. He just has  
15 to say it's sort of one trials, sort of two trials, in  
16 between, or it's even better than two trials.

17 DR. BORER: Okay. Steve?

18 DR. NISSEN: I wasn't going to take that so  
19 much, but I want to remind everybody of something. A few  
20 years ago, it was just absolutely clear and obvious from  
21 nonrandomized, sort of observational data that estrogen was  
22 very good for cardiovascular protection in women. In fact,  
23 many women I know in my practice were pressured heavily to  
24 take estrogen by their family practice physicians because  
25 huge, enormous observational databases showed that women

1 that got estrogen had a lower incidence of coronary heart  
2 disease. And now, as it's tested prospectively, we find  
3 out it isn't so.

4           Now, is it exactly the same situation? Of  
5 course, not. But what happened was that women who chose to  
6 take estrogens were different from women who didn't. And  
7 you raised the question, Tom -- and I agree with you  
8 completely -- that people that chose to take aspirin or  
9 whose physicians chose to give them aspirin in this trial  
10 -- could they have been sufficiently different to account  
11 for some of this?

12           And, Ray, I don't know in any given situation  
13 how you ever can correct for that. It's a huge hazard.  
14 So, the only question then you have to do is look at it and  
15 say how plausible is that possibility. And, boy, we've  
16 been wrong. On the estrogen story, we've been as wrong as  
17 we could possibly be.

18           DR. LIPICKY: You can be wrong with a  $p$  of .05  
19 in a prospective randomized trial. Okay? So, I just want  
20 to get a feeling of how wrong you think you can be. That's  
21 all.

22           DR. BORER: Let me ask Steve then, since he was  
23 the last to speak, and therefore it's easiest to keep him  
24 going, do you find these data sufficiently compelling so  
25 that you can conclude that both components add to the



1 combined effect of the combination product?

2 DR. NISSEN: I do, but I'm nervous. And I gave  
3 an example of a situation where people who thought they  
4 really understood this very, very well turned out to be  
5 absolutely dead wrong about another form of therapy.

6 DR. BORER: So, are you nervous enough to say  
7 this is as good as one trial at  $p$  less than .05, or are you  
8 even more nervous than that? Because nervous I think means  
9 you're not willing to say it's as good as two trials at  $p$   
10 less than .05.

11 DR. NISSEN: I'm going to think about that  
12 before I answer.

13 DR. LIPICKY: The point estimate is in the  
14 right direction. No question. No one is going to argue  
15 about that. The question is do you think that's real, and  
16 then how certain are you of that? And are you going to put  
17 us in the position of saying, well, you ought to prove  
18 things with a single trial of .05?

19 DR. HIRSCH: Ray, this is about like a single  
20 .05 trial, meaning that we have really quite good data with  
21 quite good fidelity, with a  $p$  value that looks sort of  
22 appropriate, but we could be wrong. That's where we are.  
23 It's about equivalent to one well-designed trial.

24 DR. BORER: Blase and then Bob.

25 DR. CARABELLO: I think the data are

1 compelling, but I don't think you can compare the apple and  
2 orange of randomized trials to a meta-analysis.

3           But unlike the estrogen situation, all the  
4 toothpaste is out of this tube. There is never going to be  
5 this trial. There is never going to be a double-blind,  
6 randomized, two-pole trial of these two drugs. It's not  
7 going to happen. Millions of Americans are already on this  
8 combination, and unless and until some data to become  
9 available to suggest that maybe they shouldn't to be, to  
10 then throw the whole issue back, it just isn't going to  
11 happen.

12           DR. BORER: I'm not sure that that's what we're  
13 being asked to do or not to do. I think what we're sort of  
14 being asked is should the FDA put its imprimatur behind the  
15 combination if we don't have the data that normally -- the  
16 evidence of the strength that we normally would require to  
17 allow the FDA to come to that conclusion.

18           DR. LIPICKY: I want to emphasize that Bob said  
19 yesterday that a p of .05 single trial was good enough to  
20 get approval. So, saying a p of .05 single trial isn't the  
21 death, I just want to get a feeling for the strength of  
22 evidence. And so far you're telling me, well, I don't know  
23 how to tell you what I think. That's what you said so far.

24           DR. BORER: No, no. Very clearly Alan said,  
25 one trial at p less than .05.

1                   Bob?

2                   DR. TEMPLE: Well, you really have to make the  
3 same distinction Tom made. On the question of whether  
4 prava adds to aspirin, A, you don't need a meta-analysis.  
5 Both trials showed it. They showed it for all endpoints.  
6 The p values were pretty extreme. And I must say, although  
7 we asked it, I find it hard to imagine that anybody doesn't  
8 find that part of it convincing. Those trials were mostly  
9 done in aspirin users. All the evidence you have on the  
10 effect of prava is from trials in which most people got  
11 aspirin. So, that doesn't seem hard. It's the other part  
12 that seems hard because you're into epidemiology or  
13 something.

14                   I just wanted to go back to something Tom said  
15 before, which was that he would like to be allowed to think  
16 about the fact that the two drugs work in completely  
17 different ways and factor that into his thinking, which I'm  
18 sure he did. When Ray wanted to pose the one study at .05  
19 versus two, I said, why don't you cross that out? They  
20 can't answer that because it is, to a degree, apples and  
21 oranges. It seems fairly obvious that one is bringing  
22 one's impression about how things work, with all the flaws  
23 that that can induce, just as everybody appears to be wrong  
24 substantially on what estrogens do. So, that does seem  
25 part of it.

1                   I want to mention one other thing. I somewhat  
2 hesitate to do this. There are actually trials in which  
3 antiplatelet drugs have been given to people who are on  
4 statins, not trials of aspirin, but it's not out of the  
5 question we could take a look at trials of clopidogrel and  
6 things like that to see whether there was an effect of an  
7 antiplatelet drug. It raises some of the same issues as  
8 yesterday when you're already on a lipid-lowering drug. We  
9 haven't done that. We haven't ask the company to do it,  
10 but those data are in the public domain. It might be  
11 possible to do that to test the hypothesis.

12                   DR. BORER: I think would think that  
13 clopidogrel would be the wrong choice since the drug was  
14 approved because of its putative superiority not only to a  
15 placebo defined on aspirin, but to aspirin.

16                   DR. TEMPLE: Well, see, that's a good question.  
17 It depends on what you think the question is. And I'll  
18 tell you what my thought was. We weren't trying to answer  
19 the question whether you get precise estimates of what the  
20 exact effect of these things are together. It was really a  
21 qualitative plus or minus thing, answering the question, if  
22 your lipids are under great control, does doing something  
23 to your platelets make a difference. So, maybe in that  
24 case, another antiplatelet drug, even one that was better  
25 than aspirin, might be pertinent.

1 DR. BORER: I'd like to disagree with that. I  
2 think -- and you'll have to correct me if I'm wrong -- that  
3 in asking the FDA to approve a combination drug for  
4 prevention of events, which is what we're talking about  
5 when we add the aspirin on, we'd like to have some sense  
6 that there is a quantitative effect, any quantitative  
7 effect. And looking at the quantitative effect of a drug  
8 that's more potent than aspirin may not tell us that  
9 aspirin really adds in an important way to pravastatin in  
10 prevention of events. Now, it may add in other ways. It  
11 may have platelet active effects, et cetera.

12 DR. TEMPLE: Jeff, might it not tell you that  
13 even if your lipids are just perfect, fixing your platelets  
14 makes a difference?

15 DR. BORER: Yes, it might well.

16 DR. TEMPLE: In some ways that's the question.

17 DR. BORER: Well, I'm not sure.

18 DR. TEMPLE: Not the whole question.

19 DR. BORER: I'm not sure I'd agree with that.

20 DR. THOMPSON: Dr. Borer, I'd like to come back  
21 to the question --

22 DR. BORER: Wait, wait. Just a minute, Paul.

23 I don't want to carry this discussion ad  
24 nauseam. The points have been made. I think what we're  
25 trying to do here is to determine how compelling we believe

1 the evidence in favor of an additive effect either way is.

2 And I think we've heard that in general there's evidence,  
3 and so far everybody has been willing to accept that  
4 there's evidence and both components do add to the effect  
5 of the combination of drugs.

6 The data, as we've heard from Steve and from  
7 Alan, aren't as compelling as we usually expect to see, but  
8 they're there. That doesn't mean that they're adequate or  
9 inadequate, but they're there. And Alan suggested, in  
10 terms of the degree to which he's convinced, he's as  
11 convinced as he would have been if he had seen one trial at  
12  $p$  less than .05.

13 Let's see if we can sort of narrow the answer  
14 to that question, and let's hear from the voting members.  
15 Mike?

16 DR. ARTMAN: What's the question, Jeff?

17 (Laughter.)

18 DR. BORER: From the meta-analyses --

19 DR. ARTMAN: Okay, so you want to answer 4.2.1  
20 and 4.2.2?

21 DR. BORER: Yes.

22 DR. ARTMAN: I would agree with what Alan said,  
23 that the level of confidence I have in this would be  
24 comparable to a single trial at a  $p$  less than .05.

25 DR. BORER: Tom, do you want to finalize your

1 answer now, or do you want some time to think?

2 DR. FLEMING: Well, I think I've already said  
3 the essence. I'm very comfortable to say that the  
4 contribution of pravastatin to the combination has been  
5 established by the standards of two studies at the .05  
6 level.

7 The combination of aspirin is where I struggle  
8 greatly. It's extremely rare for me to find nonrandomized  
9 data as adequately convincing. The basis that I have  
10 judged in this case that it is is essentially built on, A,  
11 the fact that I think the evidence is adequately convincing  
12 that aspirin, in the absence of pravastatin, is beneficial  
13 according to the standards we would usually have for  
14 strength of evidence; B, that the biological plausibility  
15 that it would maintain that effect in the presence of  
16 pravastatin because of different mechanisms of action is  
17 relevant; and, C, because the evidence that we do have,  
18 flawed as it is because it's not from randomized  
19 comparative studies, gives us very favorable point  
20 estimates where the best judgment that I can make about  
21 where the biases would be, in terms of selection factor as  
22 to who got aspirin versus who didn't, and in terms of lack  
23 of adherence diluting differences, would if anything dilute  
24 the estimates that we came up with, which in fact does  
25 appear to be what we're seeing when we look at these data

1 from the aspirin versus nonaspirin. It's the aggregation  
2 of all that that provides me a sense that this is adequate,  
3 as incredibly rare as it would be for me to arrive at that  
4 conclusion in the absence of randomized comparisons.

5           And I'm not comfortable, though, stating  
6 numerically whether or not this is the same as one or two.  
7 It's not nearly as convincing as what you would have if you  
8 had had the data from two randomized trials that provided  
9 strong evidence of benefit. Nevertheless, it's my sense,  
10 for the reasons that I've given, that the aggregation of  
11 this evidence is adequately convincing to conclude that  
12 both elements contribute to the combination benefit.

13           DR. BORER: Dr. Kreisberg?

14           DR. KREISBERG: My answer is yes. I think the  
15 preponderance of the data supports the fact that the  
16 combination is better than either one alone.

17           DR. BORER: Are you as convinced as you would  
18 be if we had two randomized controlled trials?

19           DR. KREISBERG: Well, with the proviso to Dr.  
20 Nissen about there are things in medicine that make perfect  
21 sense but are absolutely wrong, the answer is yes, I'm  
22 satisfied with the evidence.

23           DR. BORER: Beverly?

24           DR. LORELL: The answer to 4.2 is yes. I  
25 thought the data was very compelling that pravastatin plus



1 buffered aspirin has a greater effect than either buffered  
2 aspirin -- either aspirin -- not buffered, but aspirin --  
3 or pravastatin alone.

4                   And for the record, I will say that as a  
5 nonstatistician, I cannot feel comfortable answering .1 or  
6 .2, but I will say that in this case, there were really two  
7 things that I think made this meta-analysis compelling to  
8 me as a clinician, not a statistician.

9                   One was that the meta-analysis involved a very  
10 large number of patients who were quite well defined.

11                   And the second thing is that I'm always nervous  
12 as a nonstatistician when I hear a statistician use a  
13 single meta-analysis approach to try and persuade me of  
14 something. And I thought it was very valuable in the  
15 analysis we heard today that there was an effort to  
16 approach this meta-analysis dilemma from three different  
17 models.

18                   So, the answer to 4.2 is yes. I can't answer  
19 .1 or .2.

20                   DR. BORER: Susanna?

21                   DR. CUNNINGHAM: I would also say that I'm  
22 convinced that the pravastatin has a greater effect than  
23 aspirin alone, and I also cannot answer the subquestions.

24                   DR. BORER: Yes. I think the question is a  
25 little confusing in that it refers in 4.2 to the meta-

1 analyses. In fact, the meta-analyses really I think  
2 properly refer to the pravastatin on top of aspirin rather  
3 than the aspirin on top of pravastatin, which I think would  
4 be hit in 4.3, but I think the answers have referred to  
5 both, if that's okay.

6           And is it okay if we try not to answer 4.3. I  
7 don't think any of us other than Tom can --

8           DR. LIPICKY: Fine.

9           DR. FLEMING: Just a simple answer. I find the  
10 results from the models as qualitatively consistent.

11          DR. BORER: Okay.

12           I think for the record, then, everyone has  
13 agreed that there is reasonable evidence that both  
14 components contribute to the effect of the combination with  
15 varying degrees of enthusiasm, perhaps in general, less  
16 than would have been the case had there been two randomized  
17 controlled trials to look at, each meeting the p less than  
18 .05 standard.

19           Let's go on to 5.0. Upon what basis was the  
20 dose of buffered aspirin chosen for use in the fixed-dose  
21 combination product? Do you consider this reasonable?  
22 What alternative doses can you recommend? And should one  
23 wait prior to approval on settling the question of buffered  
24 aspirin dose?

25           Alan?

1 DR. HIRSCH: Do you want one or all three?

2 DR. BORER: Just do all three.

3 DR. HIRSCH: Well, I think the basis of the  
4 choice of antiplatelet dose was retrospectively a  
5 combination of the primary aspirin trials, the antiplatelet  
6 trialists collaboration, and other meta-analyses and  
7 obviously the clinical practice that was both valid in the  
8 pravastatin trials.

9 Do I consider this to be reasonable?

10 Absolutely, acceptable and reasonable.

11 What alternative doses besides the 81 and 325  
12 would I recommend? I wouldn't. Those would be the  
13 appropriate doses certainly in the United States market in  
14 any case.

15 And should one wait prior to approval on  
16 settling the question of buffered aspirin dose? I think  
17 that in this real world, we have adequate data to be happy  
18 with those two choices of doses.

19 DR. BORER: Is anybody unhappy with that  
20 answer? Steve is unhappy.

21 DR. NISSEN: Well, not completely unhappy, but  
22 I must point out that there's an enormous meta-analysis,  
23 just published within the last few days, from the Oxford  
24 Group that shows that there is a higher risk of the 325  
25 milligram dose and that the dose that seemed to have the

1 best combination of safety and efficacy was the 81 to 160  
2 milligram dose. So, it's brand new data. It wasn't  
3 available to the sponsor when all this was done, and I  
4 haven't had a chance to fully analyze that manuscript, but  
5 it ought to be at least mentioned.

6 DR. TEMPLE: That depends a little bit on  
7 whether you want to look at a particular dose that was used  
8 in a particular setting or, like the collaborations have to  
9 do, lump them all together. The current labeling for  
10 aspirin says you can do either of those things. Be my  
11 guest. And I think that's what we urge: cover the range  
12 of doses that are used. There are some things where 150 is  
13 the recommended dose.

14 DR. HIRSCH: Just to come back to the point,  
15 our goal was to make sure that were doses available, not to  
16 follow another meta-analysis, another guideline. I think  
17 the sponsor has done that.

18 DR. BORER: I think in general then everybody  
19 is happy with 5.0. We know how the doses were chosen. We  
20 think it's reasonable, no alternatives to recommend, and  
21 with the caveat that was just made by Steve and amended by  
22 Alan, we don't think it's necessary to beat this one any  
23 further.

24 But 6.0. Upon what basis was the dose of  
25 pravastatin chosen for the use in the fixed-dose

1 combination? Do you consider this reasonable? What  
2 alternatives can you recommend, and should one wait prior  
3 to approval on settling the question of pravastatin dose?

4 Keep in mind, in answering this, that  
5 putatively this is a convenience product. So, it doesn't  
6 mean that everybody has to give this dose.

7 Alan?

8 DR. HIRSCH: Yes. I'm collecting my thoughts  
9 here.

10 We spent less time, I thought, than we might  
11 have on the discussion of dose, even though we did  
12 circulate there. So, this is a question which I would like  
13 everyone to weigh in on.

14 The basis of the choice of dose I presume was a  
15 combination of the initial dose-response data the sponsor  
16 had, the application of that in the PLAC I, PLAC II,  
17 REGRESS, LIPID, and CARE trials.

18 And do I consider this to be reasonable? Yes,  
19 that's reasonable because that's the database we're  
20 presented in the meta-analysis.

21 The hard part is when we get to 6.2 and 6.3  
22 when we're asked what alternatives can you recommend. I  
23 suspect there will be some diversity of opinion.

24 When you lead the question, Jeff, and say it's  
25 a convenience dose product, actually there's no need to

1 recommend alternatives. We're asked for a single dose  
2 based on the clinical trials for convenience and we can  
3 stop. But I do suspect that the panel members will want to  
4 discuss the potential for alternative doses in the prava  
5 arm, as well as in the aspirin arm, though many may not  
6 want to go there.

7 I'll charge ahead and go to 6.3. Should one  
8 wait prior to approval on settling the question of  
9 pravastatin dose? I think not, but I bet you there's  
10 diversity of opinion. I could justify that if you'd like.

11 DR. BORER: I think we've said that the choice  
12 of the dose was based on the fact that that was the dose  
13 that was used in the prevention trials, and it was  
14 reasonable.

15 There could be alternatives. I don't know if  
16 we want to discuss this at this point. There could be, but  
17 this is being suggested as a convenience product for people  
18 who come to the conclusion that this is the dose that ought  
19 to be used.

20 Yes, Beverly.

21 DR. LORELL: Had there not been the preamble in  
22 the text before that question, I would have answered 6.1  
23 yes. I think there's reasonable logic as to why 40  
24 milligrams were chosen. And for the answer to 6.2, what  
25 alternatives would you recommend, I would say none.

1                   But I am concerned that in fact in the text  
2 preamble in paragraph 2 that you read was the comment that  
3 generally that means that a full range of dosing strengths  
4 of each individual entity should be available for the  
5 combination product, thereby providing convenience, but not  
6 influencing selection of dosing or dosing regimens of  
7 individual entities. So, with that preamble to guide us as  
8 members of the committee, I would say 6.2 probably does  
9 merit some discussion, and the alternative I would  
10 recommend would be including consideration of also 80  
11 milligrams with the two options for aspirin. I don't  
12 understand the logic for offering a range of aspirin and  
13 not offering a range of titration of Pravachol, unless it  
14 is the intention to argue that it doesn't matter what your  
15 LDL is.

16                   DR. BORER: That issue that you just so  
17 beautifully outlined is the sum and substance of question  
18 8.0, and so perhaps, with your permission, we'll wait until  
19 8.0 and discuss that more fully because I think this is  
20 really, so to speak, the heart of the matter. Is that  
21 okay, Ray?

22                   DR. LIPICKY: Sure.

23                   DR. BORER: 7.0. Assuming that you have  
24 concluded something about the strength of evidence that  
25 pravastatin and buffered aspirin should be taken together

1 and that the doses to be available in the fixed-dose  
2 combination product are appropriate, what is the strength  
3 of evidence that a fixed-dose combination product, taking a  
4 single pill, has increased clinical benefit with respect to  
5 taking two pills not necessarily together?

6 To clarify that further, should we require  
7 better demonstration of additional benefit provided by  
8 convenience, and what kind of demonstration would be  
9 better?

10 Alan, do you want to start out?

11 DR. TEMPLE: Jeffrey, we really have never  
12 asked people to show that. It doesn't mean we couldn't  
13 change our view, so it's worth listening. It's my belief  
14 that it would not be easy to do in a controlled trial  
15 setting where people tend to be compliant. You'd have to  
16 establish so loose a setting that people could just ignore  
17 the drugs they're supposed to take. I wouldn't say that's  
18 not possible, but there's not a lot of track record on it.

19 DR. HIRSCH: But you asked the question.

20 DR. TEMPLE: Yes. I probably tried to cross it  
21 out.

22 (Laughter.)

23 DR. LIPICKY: Yes. It has to be put in  
24 context. The assumption was you were going to come to  
25 different conclusions in some of the questions above than



1 you did and that then you would not be able to assert that  
2 you knew that the two ingredients contributed to the effect  
3 or that you had more major reservations than you did.

4           So, the crux of the argument, in part, that was  
5 made by the company was that compliance was the benefit  
6 here, that this fixed-dose combination -- they didn't think  
7 you'd reach the conclusion that they had shown A plus B is  
8 better than A or B -- offered compliance advantages. And  
9 there was absolutely no data regarding compliance at all  
10 for this fixed-dose combination. So, this question was  
11 written to find out whether you want data to support your  
12 judgments. It probably is out of place now since you  
13 answered the questions above in a different fashion.

14           DR. HIRSCH: I think it's still in place.

15           DR. TEMPLE: Well, it's still pertinent to the  
16 end game where you're going to worry about the fact that  
17 they may not know how to stop it properly before surgery, a  
18 perfectly legitimate question, and maybe the potential for  
19 better compliance --

20           DR. LIPICKY: Well, okay, fine.

21           DR. TEMPLE: -- certainly not the documentation  
22 of good compliance might be part of what you think in it.  
23 But ordinarily in other senses we don't really ask that  
24 question.

25           DR. LIPICKY: But in fact I guess the

1 presentation disturbed me because what was shown for this  
2 general knowledge that combinations are better for  
3 compliance was a total of four trials. So, I don't think  
4 it is general knowledge or that you can assume that taking  
5 one pill instead of two leads to better compliance. And  
6 so, it's sort of pertinent to the question of if that were  
7 important, what do you think we should have seen.

8 DR. HIRSCH: Can I rephrase the question a  
9 little bit because I think this does merit some discussion  
10 based on everything I've heard from the panel? I think the  
11 lead-in to this whole discussion was obviously convenience  
12 and compliance. So, the question I'd phrase is, when does  
13 perceived convenience, really driven I think by patient  
14 demands we all hear, actually work for or against a  
15 perceived or demonstrated, I should say, health benefit?  
16 In other words, does having a convenience product per se,  
17 which might potentially improve compliance with one or two  
18 drugs, work for or against hitting the endpoint ultimately  
19 of a decreased cardiovascular risk?

20 DR. LIPICKY: I guess the discussion should be  
21 would you require seeing data that there is something to  
22 that. The question is totally out of context now. So, the  
23 subparts are: would compliance data be enough, or would  
24 you need to have body counts?

25 DR. HIRSCH: So, just as my friend Tom

1 occasionally tells us how we might best think about the  
2 statistical considerations, I'd like to just throw some  
3 ideas out there for the panel to consider because the  
4 sponsor did and I found them intriguing.

5           There are a lot of hypotheses about why this  
6 might be a good thing, and the ones I listed were things  
7 like potentially adherence to guidelines because people  
8 would actually take their aspirin, for example; perhaps use  
9 of the correct dose because it would be formulated  
10 correctly; perhaps a decreased pill burden and again a  
11 greater daily compliance. There were many others, but I  
12 think all of these are basically conjecture at this point.  
13 There is not adequate data, having read those primary  
14 references as well, to suggest that we achieve that in this  
15 particular population with these particular products.

16           So, with that in mind, when I thought of these  
17 questions, I actually did think that we're going to be  
18 faced with many potential combinations. From this very  
19 data set, we could look at beta-blocker pravastatin in the  
20 future. We could look at "prilstatin." This is a large  
21 data set. There are many combinations that would be very  
22 beneficial.

23           But before I'm having to face this as a panel  
24 member, I actually would like to see some additional data,  
25 and I was thinking of a compliance study, Ray, but that may

1 be unreasonable.

2 What does everyone else think?

3 DR. BORER: Are there any other opinions? Dr.  
4 Kreisberg?

5 DR. KREISBERG: Well, there's another element  
6 to this and that is cost, which we haven't discussed and  
7 maybe we can't discuss. But aspirin is dirt cheap and it's  
8 about a penny a day for those people that take it. And  
9 we're talking about a combination now, and when you  
10 consider that 80 percent of the deaths occur in people over  
11 the age of 65 and they have Medicare, the payments that  
12 they have to make for these medications become crucially  
13 important.

14 So, one of the questions that I have in my mind  
15 is what is the intent of the sponsor with regard to this  
16 preparation because if it turns out that a drug like  
17 pravastatin will, in the near future, will be a generic and  
18 will be allowable with a \$10 co-payment through most health  
19 plans, but this product is not a generic and it requires a  
20 \$25 co-payment or a \$35 co-payment with the plan, then what  
21 is that going to do for the proposed adherence rate that  
22 we're contemplating would be of benefit to this type of  
23 combination?

24 DR. TEMPLE: It's not that that's not a  
25 perfectly cogent question, but I think we don't consider it

1 FDA's province to do that.

2 DR. BORER: Should we require demonstration of  
3 additional benefit from a convenience study? I don't know  
4 that we need to spend a lot of time on this, but does  
5 anybody have any thoughts that they want to share here?  
6 Blase?

7 DR. CARABELLO: I don't think we should because  
8 I think that ultimately the sponsor bears that burden. If  
9 it turns out that the drug is easier to take and that  
10 people like it and use it more, then it will be used. And  
11 in fact, if that's not the truth, then the drug will die on  
12 the vine and it won't be a consideration.

13 DR. BORER: We don't have compliance data, and  
14 I think speculating about it probably isn't going to be  
15 very useful at this point.

16 But let's get on. The last two questions I  
17 think are where the action is.

18 8.0. How likely is it that the availability of  
19 a fixed-dose combination product would encourage  
20 inappropriate use of the doses of any of these drugs?

21 This was the issue that Beverly was getting at  
22 earlier and it's what we danced around all day. We heard  
23 data from Dr. Pedersen and from the sponsor, and I think  
24 this is where we really want to concentrate our discussion.

25 Alan?

1 DR. HIRSCH: Do you want us to take these one  
2 by one or again the whole packet?

3 DR. BORER: Take them together.

4 DR. HIRSCH: Inappropriate use of buffered  
5 aspirin for primary prevention. I think the risk is very  
6 low.

7 Inappropriate use of a dose of 40 milligrams of  
8 pravastatin. Actually low.

9 Inappropriate use of a dose of 325 milligrams  
10 buffered aspirin. I think equally low.

11 And the same thing for 8.4.

12 DR. BORER: I'm sorry. We were given these 8.1  
13 through 8.4. I want to expand a little bit.

14 DR. HIRSCH: Okay.

15 DR. BORER: Inappropriate use of a dose of  
16 pravastatin. I don't care what the dose is. If only one  
17 dose is offered, is it likely that the practice pattern  
18 will be that the drug is not used in the way that it  
19 otherwise might be used?

20 DR. HIRSCH: Yes, I think there's a real chance  
21 of that.

22 DR. BORER: Does anybody else want to talk  
23 about that? Steve?

24 DR. NISSEN: Yes. I'm troubled by this, and  
25 let me see if I can help.

1           First of all, I do think that there is a  
2 moderate risk of inappropriate use of buffered aspirin, and  
3 I think Susanna really was the first to point this up. And  
4 I hadn't really thought about it, but the more I've thought  
5 about it and heard from other people, I am concerned that  
6 people undergoing both minor and major surgical procedures  
7 may accidentally -- much more likely accidentally -- be  
8 given aspirin as part of a fixed-dose combination.

9           And what's important for us to understand is  
10 that aspirin is not a completely benign drug, that it has  
11 very serious consequences in the wrong circumstances.  
12 Therefore, when you put it together in a fixed-dose  
13 combination, I do think you increase the likelihood that  
14 either the patient or the physician will be unaware of the  
15 fact that they're taking a potent antiplatelet agent and  
16 that someone will forget about that in a circumstance where  
17 the patient may be harmed. So, I've got to give that at  
18 least some credence.

19           Similarly, because the dose of 40 milligrams of  
20 pravastatin, according to current guidelines -- this speaks  
21 to medical practice -- is unlikely to get, in my opinion,  
22 the majority of patients to the recommended goals, then I  
23 think that encouragement of use of this fixed-dose  
24 combination will, in fact, increase the probability that  
25 some patients will be undertreated with respect to their

1 lipids.

2                   So, I would also say -- and we can skip the  
3 dose issues -- that both for pravastatin and for aspirin,  
4 there is moderate risk here that the agents will be given  
5 inappropriately when here in the fixed-dose combination.  
6 And the more relevant issue then is how does that risk  
7 equate with the benefit that might accrue from this  
8 combination, and I will speak to that a little bit later.

9                   DR. BORER: Beverly?

10                  DR. LORELL: I agree with what was just said.

11                  DR. BORER: Mike, go ahead.

12                  DR. ARTMAN: I would just like to get back to  
13 the issue of inappropriate use of buffered aspirin for  
14 primary prevention, and I raised it earlier and got pooh-  
15 poohed a little bit. But I disagree with Alan. I really  
16 think that there is that risk, and I think there's a lot of  
17 controversy about the use of aspirin for primary  
18 prevention. I don't think it's been proven, and I think if  
19 this fixed-dose is approved, I can see a big campaign and  
20 the detail people talking up this combination for secondary  
21 prevention, and oh, by the way, you know pravastatin is  
22 approved for primary prevention as well. And I think  
23 there's going to be a lot of leak and a lot of bleed over  
24 to that, and that concerns me as well as these other issues  
25 that Steve and Beverly have raised as well.



1 DR. BORER: I agree with what everyone has said  
2 here, and I would like to add just one additional point. I  
3 think that over and above the other aspirin issues that  
4 have been raised, aspirin may not be the appropriate drug  
5 for every patient who requires a platelet active agent.  
6 Clopidogrel is approved for certain, specific situations.  
7 Most people who receive an antiplatelet drug like aspirin  
8 or clopidogrel require or should receive or do receive  
9 lipid-modifying therapy in the form of statins. If a  
10 combination product is available that has aspirin attached  
11 to it, I'm concerned that in some, admittedly small,  
12 segment of the population, the more appropriate drug, which  
13 might be clopidogrel, won't be used in favor of the less  
14 appropriate drug because of the convenience of giving the  
15 aspirin together with the otherwise necessary statin. So,  
16 I would just add that to the mix, but other than that, I  
17 agree with what's been said here.

18 Blase?

19 DR. CARABELLO: Just to reiterate what I said  
20 earlier, we have no idea what the sudden withdrawal of the  
21 statin agent prior to surgery might do, and so one can  
22 easily foresee the cumbersome nature of withdrawing the  
23 aspirin part of the product and continuing the statin part  
24 of the product by prescribing a single agent. I doubt that  
25 anybody would do that. And while I have no knowledge that

1 the withdrawal of statins might be dangerous, it's open to  
2 question. So, that does concern me.

3 DR. BORER: Just to put this in proper  
4 perspective without moving beyond where we are here, right  
5 now the sponsor is proposing co-packaging, in which case  
6 the issue of stopping aspirin independent of pravastatin  
7 wouldn't be a big issue, it wouldn't be a big deal. But  
8 you asked us to consider a pill that has both of them in  
9 it. You didn't tell us about the form of the pill. Is the  
10 aspirin part something that could be broken off? Or are we  
11 going beyond, in talking about that, where we should be  
12 going?

13 DR. LIPICKY: I can't answer the question.  
14 Clearly aspirin is not going to be in half and prava in the  
15 other in your single tablet.

16 DR. FIEDOREK: Yes. We're still working on the  
17 formulation for that, but it will be a combination tablet.

18 DR. LIPICKY: But you're not going to put  
19 aspirin in one half and prava in the other.

20 DR. FIEDOREK: No.

21 DR. BORER: No, okay.

22 So, I think the general sense here is that we  
23 have real concern about the range of doses that's available  
24 for this product because of the various reasons that have  
25 been raised, because of the likelihood that this selection,

1 this range that's been offered, will potentially adversely  
2 affect clinical practice.

3 DR. LORELL: I think that there was also the  
4 separate concern raised about packaging a potent  
5 antiplatelet agent with another drug.

6 DR. TEMPLE: We need to understand this because  
7 these are probably the reasons you may give a particular  
8 opinion. So, it would be very helpful if we understood  
9 those. Let me tell you what I understand.

10 You haven't addressed the question of whether  
11 labeling could overcome this and you might want to think  
12 about that.

13 But one major concern was that having two  
14 together really makes it difficult to stop one of them, and  
15 you can think of quite bad consequences if people don't  
16 realize they're supposed to stop their aspirin prior to  
17 surgery and you're not sure that stopping the whole  
18 combination is the right thing to do. So, I understand  
19 that part. That's pretty clear.

20 I'm a little foggy on the dose thing, unless  
21 you just don't believe people will do it, which might be  
22 your explanation. This is going to be labeled as if 40  
23 milligrams is the right dose, you can add them together.  
24 So, you must suspect that that will not, in fact, happen.

25 DR. BORER: Yes.

1 DR. TEMPLE: I assume that's the reason.

2 DR. BORER: I think from the discussion that  
3 we've had around the table during the day, that would be  
4 the presumption.

5 DR. TEMPLE: Just let me continue. The first  
6 one, you might think of ways to label around that, but  
7 you'd be suspicious about whether they'd work, I imagine.

8 This one, is this susceptible to appropriate  
9 labeling injunctions, you know, be sure you get the right  
10 dose, not everybody needs 40. Or is that just not a  
11 possibility?

12 DR. BORER: We can ask everyone around the  
13 table their opinion. My opinion is that the label really  
14 won't mitigate that potential problem.

15 Steve?

16 DR. NISSEN: Bob, the evidence -- and again,  
17 this is an area that I happen to be an expert in -- is that  
18 most patients end up on the dose of statin that they're  
19 started on, that unfortunately, despite all of our efforts  
20 to get people to titrate, they tend not to titrate. So, my  
21 concern is that to the extent that this will happen, the  
22 inconvenience to the physician and the patient of having to  
23 stop the combination, switch to a different statin and then  
24 co-administer aspirin will be enough of an impediment that  
25 more patients will not be titrated to goal than would be