

1 solution to do anything to the corneal surface
2 when worn in conjunction with a contact lens.

3 The goal should be to put the lens on the
4 surface and have the cornea never know it was
5 there, even though it has been slept in 30
6 nights at a time. And the goal should also be
7 that the solution will do the same thing. It
8 would not alter the corneal epithelium in any
9 way that would encourage binding of pathogens.

10 We have not looked at fungi. We
11 have not looked at acanthamoeba. And oh, by
12 the way, exfoliation decreases substantially,
13 that is all contact lens wear, regardless of
14 solution and all lens types decrease the
15 surface exfoliation by shutting off
16 apotheosis. So the cornea cannot shed and in
17 fact its cell, which is one of the losses and
18 it's a defense mechanism that leads to
19 microbial keratitis.

20 Next slide, please. In conclusion,
21 what you have here now is lens type, wearing
22 modality, lens oxygen. Lens oxygen has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shown unequivocally to regulate at least
2 pseudomonas binding. And if you -- the
3 studies would have predicted with silicone
4 hydrogels that the rates of MK would come down
5 in daily and extended wear.

6 Well, they didn't. But they didn't
7 go up either. And the prediction that the
8 length of wear would not determine a future
9 risk held up. And the prediction unexpectedly
10 that under six months wearers would have a
11 higher risk has stood up. So I think that the
12 solutions are going to have to be fit into
13 this matrix.

14 Now, the last point. Winston
15 Churchill has a wonderful aphorism "Those who
16 allow the past to continually reopen a coral
17 to the present, lose the future." You have
18 heard about the problems. But where do you go
19 next?

20 Well, in 1986, we had the same
21 problem six or seven medical boards want to
22 shut down the industry, there was no data on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk or incidence prevalence, risk safety
2 values. The Agency was having hearings like
3 this. Everybody was wringing their hands and
4 everyone knew there was a major problem that
5 had to be solved.

6 Don Ahearn and I and a colleague,
7 who is since deceased, drove in a blinding
8 rain storm down to Hilton Head Island where
9 for three days there was a very acrimonious,
10 but very profitable conference held attended
11 by representatives from the ophthalmic
12 community, the optometric community, the
13 National Health Care Statistics group, the
14 National Eye Institute, the FDA and all of the
15 members of CLI and nominated representatives.

16 Out of that meeting came a
17 solicitation for four schools who presented
18 proposition proposals of which Harvard got the
19 bid and then the famous papers in the New
20 England Journal, Juan Poggio and Olliver
21 Schein came out to define risk incidents.
22 Disposable lenses rapidly followed and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Agency was able to institute a six night
2 wearing schedule that seemed to solve the
3 problems.

4 Now, I suggest and there may be
5 other ways to do this, but I'm sitting here
6 thinking that the same thing is going to have
7 to occur here. A task force like this needs
8 to meet this summer and needs to include
9 representatives from the ISO committees. I
10 have great faith in my personality of my
11 colleagues, but the ISO committee took 17.5
12 years to agree on the wording of a definition
13 for infiltrates and microbial keratitis in the
14 cornea.

15 So I submit to you that their time
16 table may not be the same as those of us who
17 have patient safety at our heart of our
18 concern. Neither do we want to get it wrong,
19 so they should be part of the answer.

20 Now, you have looked at the answer
21 already. Simon Kilvington stood here and
22 showed you that list of testing every solution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the planet and what was the only one that
2 killed all the cysts and all the tropes in
3 four hours? Hydrogen peroxide. So why isn't
4 that the gold standard and all the MPS
5 solutions have to meet that standard?

6 The PEG, the question needs to be
7 looked at. There are ways of doing this, but
8 this Panel, unless it thinks it can do it on
9 its own, and if so, God bless you, needs --
10 this Agency needs a white paper probably 50 or
11 100 pages long which if there is a subgroup
12 that thinks that the science is not right,
13 that the group got it wrong, they can have a
14 minority report.

15 And then the Agency has a
16 framework, a basis upon which to make some
17 rational decisions about some of the issues
18 that have been raised. As far as I can see,
19 all of the problems and issues are out here on
20 the table. I have yet to have heard a
21 concrete time table and mechanism, however, by
22 which they will be solved. And I make this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggestion in good faith to the Panel, to the
2 Agency and I think the professions would --
3 all of the groups would support it.

4 As far as funding is concerned,
5 since the public may not know that the Agency
6 cannot fund these kind of things correctly,
7 and probably shouldn't, I think either the CLI
8 and/or the professional communities or a
9 private C3501 charitable exempt foundation
10 could be found. In fact, I know of one --

11 DR. BRESSLER: Thank you very much.

12 DR. CAVANAGH: -- that would fund
13 such a study. Thank you.

14 DR. BRESSLER: Thank you again.
15 Thank you, Dr. Cavanagh. Our last public
16 speaker scheduled will be Sheila Kinsey,
17 perhaps. Yes, thank you.

18 MS. KINSEY: Hello. You surprised
19 me. I'm Sheila Kinsey and I'm here to present
20 my paper about my struggle having acanthamoeba
21 itself for seven years. As a member of
22 Prevent Blindness America PBA, a private

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 foundation devoted to saving the vision of
2 Americans since 1908, I'm honored today to
3 represent acanthamoeba keratitis victims
4 everywhere.

5 My hope is that the FDA will move
6 quickly to protect the 35 million Americans
7 who wear contacts and clean them with
8 solutions that amazingly provide no protection
9 whatsoever, none at all against an incredibly
10 cruel parasite present in all of our water
11 supplies.

12 Through our PBA forum, MAAD,
13 Mothers Against Acanthamoeba Disease, we've
14 welcomed victim after victim after victim to
15 our forum. We have answered questions ranging
16 from where to find an AK specialist to how to
17 sleep upright with a bag of frozen peas
18 propped on a throbbing eye.

19 We have done our best to help the
20 people who have written to us in desperation
21 and fear, because we know that panic and pain
22 personally. Our founder, Mary Beth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Stillmaker, was so determined to prevent her
2 teenage daughter's four year battle with AK
3 from happening to others, that she started our
4 MAAD group in 2006 with the backing of PBA.

5 She has taught a small army of us
6 now, all AK damaged foot soldiers, with her
7 patient example to ease, educate and guide AK
8 victims toward the medical help they need.
9 Our own experiences with AK are what inspire
10 us to keep fighting for safety in the contact
11 industry.

12 I speak for Paige Reichart who lost
13 her eye to it, for Anne Sears, whose patient -
14 - whose sister, T.C., is still blinded by it
15 in both eyes after a year and a half, for
16 Julie Satler and her bright beautiful
17 daughter, Sarah, who lost a year of her life
18 before returning to college this fall, for
19 Martha and Terry and Richard and David and for
20 the scores of others who have posted their
21 painful struggles with this beast.

22 My own history with acanthamoeba is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so long and so difficult that I would gladly
2 forget it, but I'm still living it and I'm
3 here to tell you what really happened. I woke
4 up in California one morning in September of
5 2001 with my eye infection -- with an eye
6 infection that would level my life. I was an
7 ordinary person then living with my two
8 extraordinary children, one a freshman in high
9 school and one a sophomore in college.

10 I was a newly single mother who had
11 worn soft contact lenses for about two years.

12 They were a late birthday gift from my
13 brother in Iowa on a summer visit during my
14 rough divorce. He said something like hop in
15 the car, sis, you look like an old foggie in
16 those glasses, so let's spiff you up.

17 After a trip to his optometrist at
18 the mall and several shopping bags of new
19 clothes, I passed his inspection and I flew
20 back home to my life. I wore my lenses
21 carefully. I had always been referred to by
22 my children as a germophobe, in fact, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 laughed at their jibes knowing that at least
2 we were healthy.

3 I never swam in my lenses, always
4 washed my hands before I touched them and
5 generally lived up to my reputation as a
6 mostly healthy germophobe.

7 My eye infection began with mild
8 swelling in September of 2001, but within two
9 weeks, I was cringing with pain. Tears poured
10 from my eye and I went outside only when I
11 absolutely had to, huddled over and wearing a
12 doubled pair of dark glasses.

13 My doctors didn't know what they
14 could do to help me. But by Thanksgiving, the
15 white of my eye was an oozing dark red that my
16 horrified, but frank, hostess described as
17 looking like port wine had been poured into
18 it. I had taken a leave from my teaching job
19 by then and I left my darkened bedroom only
20 for eye appointments and extreme emergencies.

21 After a difficult beyond belief
22 Christmas, trying to -- trying and failing to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get even a tree up for my children, much less
2 shopping for gifts, I asked for a referral to
3 the USC Doheny Eye Center, a 30 minute drive
4 in light traffic and a two hour drive in heavy
5 traffic.

6 My son, a USC sophomore with a
7 full-time job at Fox Sports as a cameraman,
8 drove me when he could and my friends with
9 packed schedules of their own drove me when he
10 couldn't. My 14 year-old daughter was my
11 rock, but she was also a child who badly
12 needed her mother.

13 2002 was a blur of excruciating
14 pain and extreme fear. My Doheny
15 ophthalmologist finally performed a deep
16 tissue biopsy in July that confirmed our worst
17 fear of all, my eye was swarming with
18 acanthamoeba with only tiny specs of healthy
19 cells in the photo taken during the biopsy.

20 When the infection got worse and
21 worse despite treatment, I was advised to move
22 to Iowa to be treated by one of the country's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 top AK specialists, Dr. John Sutphin. The
2 hardest thing I have ever done in my life was
3 when I left my children that October. I wish
4 I could say that we have recovered, but we
5 haven't.

6 I missed my daughter's entire high
7 school years, her dances, parties, holidays,
8 her prom. My son was driven, successful and
9 completely on his own. Instead of taking care
10 of the most important people in my life, I was
11 taken away from them in a series of
12 wheelchairs through airports and out of their
13 lives and into the University of Iowa's Iowa
14 Clinic where I met John Sutphin, the man who
15 would save my life.

16 I spent the first of countless
17 hospitalizations that day. Since then, I have
18 had seven corneal transplants, dozens of
19 procedures and medications and thousands of
20 drops. My biggest breakthrough was an
21 experimentally off-label use of IV pentamidine
22 in early 2004 for about three weeks. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 turned to it in desperation after I had had
2 unresponsive active acanthamoeba for three and
3 a half years.

4 After years of high doses of
5 prednisone to save the structure of my eye, I
6 developed bleeding stomach ulcers in July of
7 2003 and spent five days in an ICU receiving
8 emergency blood transfusions. So the need for
9 something new to attack the amoeba was clear.

10 Six months later, there was no sign
11 of active acanthamoeba. We had done it. So
12 since then, slowly, but steadily we have been
13 doing damage control. My new AK brainiac Dr.
14 Kenneth Goins performed my latest surgery this
15 January, in a blizzard, linking layers of two
16 corneas and implanting a plastic drainage
17 device to control a prednisone-induced high
18 eye pressure in my eye.

19 DR. BRESSLER: Ms. Kinsey, thank
20 you very much.

21 MS. KINSEY: Okay.

22 DR. BRESSLER: We really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appreciated it.

2 MS. KINSEY: Okay.

3 DR. BRESSLER: Thank you.

4 MS. KINSEY: Time?

5 DR. BRESSLER: Yes, but thank you
6 again.

7 MS. KINSEY: Okay.

8 DR. BRESSLER: I want to thank all
9 the public speakers for allowing us the chance
10 to give everyone an opportunity throughout the
11 morning to get the 10 minutes in.

12 I would just ask is there anyone in
13 the audience, by raising their hand, that had
14 wanted to also address the Panel? Okay.
15 Thank you.

16 I would like to ask the Panel then
17 if they have any questions for the speakers
18 before we break for lunch and if the speaker
19 is still here, I would ask them to come up to
20 the podium to respond, if there are any
21 questions for the public speakers. Dr.
22 Matoba?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATOBA: I have a question for
2 Dr. Cavanagh and it's regarding that test you
3 did where you applied topically four types of
4 contact lens solutions to patients and all of
5 them had corneal staining. There is some
6 information in literature to suggest that
7 properly used hydrogen peroxide systems do not
8 cause corneal staining. And I wondered if you
9 had done any testing of the hydrogen peroxide
10 systems?

11 DR. CAVANAGH: Alice, we did not do
12 that in that study, but that was the whole
13 purpose really is to use the preserved
14 solutions and, of course, the hydrogen
15 peroxide solution, as you know, goes to water,
16 so it's non-preserved. And so it couldn't
17 possibly have that type of toxicity, but I
18 agree with you it would be good to do that.

19 DR. MATOBA: Yes.

20 DR. CAVANAGH: To look and see if
21 the residue of hydrogen peroxide solution in a
22 lens case caused increases in binding. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suspect it would not, but that's a control
2 that could be done.

3 DR. MATOBA: Well, but it's just in
4 practice sometimes they do have patients who
5 come in who have not properly neutralized the
6 hydrogen peroxide.

7 DR. CAVANAGH: Right.

8 DR. MATOBA: So, you know, properly
9 used it may be safe.

10 DR. CAVANAGH: Right.

11 DR. MATOBA: But then you have to
12 take into account that maybe sometimes they
13 don't use it.

14 DR. CAVANAGH: Alice, you are
15 absolutely right. I once had a lady who
16 didn't neutralize it and went blind in the
17 waiting -- AOL elevator leaving the office.
18 Her corneas turned completely white.
19 Fortunately, the epithelium, as you know,
20 recovers from that and she never did it again.

21 And most patients who use hydrogen peroxide
22 at least, they are only going to have their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eye sting once, at least, hopefully.

2 DR. BRESSLER: Thank you. Dr.
3 Szczotka-Flynn and then Dr. Mathers.

4 DR. SZCZOTKA-FLYNN: My question is
5 for Professor Mark Willcox. Mark, in your --
6 I have two questions for you. On your IER
7 Standing Study, when you see the patients at
8 three months --

9 DR. WILLCOX: Yes.

10 DR. SZCZOTKA-FLYNN: -- what time
11 of day do you see them? How long after
12 insertion?

13 DR. WILLCOX: We tend to see them
14 in the morning or after work, so 4:00 to 6:00,
15 something like that. And so it could be a
16 long time after insertion admittedly, yes. It
17 could be a short time.

18 DR. SZCZOTKA-FLYNN: And in your--
19 the data that you presented looking at the
20 rubbing and rinsing with the -- I think you
21 used OPTI-FREE and ReNu, what was your assay
22 for binding the bacteria to the contact lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to begin with?

2 DR. WILLCOX: It was 10 minutes.

3 DR. BRESSLER: Okay. Thank you.

4 Dr. Mathers?

5 DR. MATHERS: Yes, I had a question
6 also for Dr. Cavanagh. In your remarks about
7 that study, you said something about
8 randomizing to two groups, daily wear and
9 extended wear, but you didn't comment on the
10 extended wear.

11 DR. CAVANAGH: Well, the previous
12 studies had begun extended wear after a wash-
13 in of -- all studies ever published in this
14 protocol over the last 15 years have a wash-
15 out period of a month, no lens wear. The
16 original studies all patients entered extended
17 wear through a month of daily wear. In this
18 study, they entered extended wear day novo,
19 day one. They were randomized on the first
20 lens fitting wearing visit to either sleeping
21 in that lens for 30 -- the next 30 nights or
22 to go on daily wear protocol for a year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So the only difference in the two
2 groups is the daily wear people took their
3 lenses in and out and used a non-preserved
4 care system of hydrogen peroxide and the
5 extended wear people did the same, except that
6 they did it every 30 days.

7 DR. MATHERS: So the extended wear
8 pseudomonas binding was similar to the daily
9 wear?

10 DR. CAVANAGH: It was identical.
11 And in the previous studies using the MPS
12 solutions, identical protocol, identical entry
13 dropping criteria, identical outcome measures,
14 identical visit numbers, it was over -- these
15 studies were overlapping. So the only thing I
16 can conclude is that we lost the advantage of
17 the high oxygen in the silicone hydrogels by
18 continuing to use them with the preserved care
19 solutions and we should gain them back.

20 The wonderful thing about
21 hypothesis and data is it predicts things.
22 The next prediction, like I told Fiona, look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the first six months wearers and I predict
2 a difference. And I looked at her and she is
3 already doing this, hopefully we will have the
4 results in a year or two, in that wonderful
5 Australian registry, which she has assembled,
6 which is a wonderful tool.

7 You need to look at patients in
8 silicone hydrogels on non-preserved wear and
9 in preserved wear and ask if the incidents of
10 inflammatory events and/or MK is different in
11 the two groups. And the data I showed you
12 predicts there will be.

13 DR. BRESSLER: Thank you.

14 DR. CAVANAGH: And the hydrogen
15 peroxide kills all the cysts and trophozoites
16 in four hours.

17 DR. BRESSLER: Very good. The last
18 comment, I think, Dr. McMahon.

19 DR. McMAHON: Well, this is for Dr.
20 Hansen. Much of your talk was on establishing
21 a compliance program for the professions and
22 for patients and it sounds good. Do you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any evidence that it's going to make any
2 difference?

3 DR. HANSEN: Well, I can see that
4 other behaviors have been changed, so it's
5 speculation that behaviors of seatbelts,
6 flossing of teeth in Australia and I guess
7 it's the profession as charged to see if we
8 can change this behavior.

9 DR. BRESSLER: Very good. I want
10 to thank again all the public speakers for
11 preparing and sharing their remarks and the
12 FDA personnel as well and the Panel for the
13 morning, but we are going to take a break now
14 until 12:45, because we have a busy and
15 challenging afternoon.

16 So we will reconvene in this room
17 and start right at 12:45. Please, take any
18 personal belongings that you want with you at
19 this time. The ballroom is going to be
20 secured by the FDA staff during the lunch
21 break and you will not be allowed back into
22 this room until we reconvene at 12:45 or a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minutes before. Thank you everybody. Thank
2 you.

3 (Whereupon, the meeting was
4 recessed at 12:01 p.m. to reconvene at 12:48
5 p.m. this same day.)
6
7
8
9

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 12:48 p.m.

3 CHAIRMAN BRESSLER: Okay. Thank
4 you. It's just past 12:45 and I want to call
5 our meeting to order for the afternoon
6 session.

7 And we now will hear the FDA and
8 CDC presentation. At the conclusion of these
9 presentations, there will be time for
10 questions from the panel members and then
11 we'll move into the questions from the FDA for
12 the panel.

13 At this time, we'll start with our
14 first FDA speaker, Dr. James Saviola.

15 DR. SAVIOLA: Thank you, Dr.
16 Bressler.

17 Good afternoon, everybody, and
18 welcome back.

19 Today you will hear several
20 presentations concerning contact lens care
21 products and their interactions with contact
22 lenses. As we already heard this morning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through the excellent presentations from the
2 public and the industry, this was the source
3 of the reason for having the meeting today,
4 these two outbreaks which occurred over the
5 last two years. And both cases there was one
6 particular care product associated with these;
7 it was a different product each time, as we
8 know.

9 We have a very ambitious agenda.
10 You will hear updates today on the two
11 outbreaks, *Fusarium* keratitis presented by Dr.
12 Gene Hilmantel of the FDA and on *Acanthamoeba*
13 keratitis by Dr. Jennifer Verani, our
14 colleague at CDC. You'll also hear several
15 presentations from our staff. The agenda is
16 listed in the hand out that you picked up on
17 the way in today.

18 There are several topics; both
19 labeling pre-clinical, as well as clinical
20 areas to discuss. And during the
21 presentation, we'll be interlacing the
22 questions which you'll then have later on to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discuss individually.

2 So these keratitis outbreaks with
3 the rare pathogens caused the FDA staff to
4 reassess our current guidance recommendations
5 for multi-purpose contact lens care products.

6 Currently, we are in a transition period.
7 Today there are new concerns brought upon by
8 the introduction of new lens materials and
9 different product formulations, as well,
10 different patterns of use that were not
11 existent at the time the current guidances
12 were developed in the late '90s.

13 We are taking the post-market
14 experience that we've learned in the last
15 couple of years and trying to feed it back
16 into the pre-market review process. We've
17 been involved in a variety of different
18 activities such as laboratory studies,
19 standards development, etcetera as listed on
20 this slide.

21 The review group does not feel that
22 we have the luxury of conducting business as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 usual while new standards and guidance are
2 under development. Industry continues to
3 dialogue with the review group as new products
4 are formulated and testing strategies need to
5 be developed. We need your help in
6 formulating a regulatory strategy and
7 gathering the best thoughts during this
8 transition period.

9 Today's meeting provides the
10 public, industry and panel members with the
11 opportunity to participate with FDA staff in
12 creating a future pathway for both new
13 products under development, as well as current
14 products on the market. As knowledgeable
15 experts, you members of the panel are here to
16 help us understand these issues a little bit
17 more in detail. We have medical device
18 expertise on how these products are used in
19 the marketplace. We are seeking your input on
20 several important topics to better understand
21 the implications of these devices.

22 So as advisory panel members, your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 objective will be to listen to these
2 presentations; I apologize, more listening is
3 involved, discuss the questions presented to
4 you and provide your opinion so that the
5 review staff will have additional information
6 to use in developing necessary guidance. We
7 all thank you in advance for your willingness
8 to take on this challenge with us and for your
9 thoughtful consideration of the issues to be
10 presented.

11 Our first speaker will be Dr. Gene
12 Hilmantel who will talk about the *Fusarium*
13 keratitis.

14 DR. HILMANTEL: Good afternoon. My
15 name is Gene Hilmantel. I'm an optometrist
16 and a statistician for the Division of
17 Ophthalmic and ENT Devices.

18 In our presentations today, we'll
19 be discussing some possible changes in our
20 guidance document for contact lens products.
21 These issues are also related to some of our
22 work with the relevant standards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 organizations. These changes in our thinking
2 were motivated by the recent outbreaks of
3 *Fusarium* keratitis and later *Acanthamoeba*
4 keratitis in the last few years.

5 Today I will very briefly recap
6 what happened in the *Fusarium* keratitis
7 outbreak of 2005 to 2006, and later a CDC
8 epidemiologist will discuss the *Acanthamoeba*
9 keratitis outbreak.

10 Before the outbreak fungal
11 keratitis had been relatively rare in contact
12 lens wearers constituting less than five
13 percent of cases of contact lens-related
14 microbial keratitis. In February 2006, there
15 were reports of significant numbers of cases
16 of *Fusarium* keratitis in Hong Kong and
17 Singapore. The Singapore cases were reported
18 to be related to use of Bausch & Lomb contact
19 lens solutions. In March 2006, the Centers
20 for Disease Control began receiving reports of
21 *Fusarium* cases in the U.S. These reports
22 prompted the ensuing CDC and FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigation.

2 The CDC conducted a case control
3 study to try to elucidate the factors related
4 to these fungal infections. Cases were
5 collected through active and passive means.
6 Controls were neighborhood-matched adult soft
7 contact lens wearers. Confirmed cases were
8 defined as those which had positive corneal
9 cultures for *Fusarium*. Patients with *Fusarium*
10 keratitis, control patients and treating
11 ophthalmologists were interviewed.

12 Passive surveillance ultimately
13 identified 180 confirmed *Fusarium* cases
14 between June 1, 2005 and September 30, 2006.
15 These cases came from 36 states and
16 territories. Univariate analysis of the case
17 control study data identified the following
18 risk factors: Use of Bausch & Lomb ReNu
19 MoistureLoc solution with an odds ratio of
20 13.3 and reuse of solutions in the case, also
21 known as "topping off." This had an odds
22 ratio of 3.2. In the multi-variant analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use of MoistureLoc remained highly
2 significant.

3 The Centers for Disease Control
4 investigated the care products used by
5 patients with the keratitis. *Fusarium*
6 organisms were found on external tips of a few
7 of the opened multipurpose solution bottles,
8 but were not recovered from any unopened
9 product. Genetic typing of the cultured
10 *Fusarium* strains found a high genetic
11 diversity in the isolated strains. This
12 suggested that it is unlikely that there was a
13 common source of contamination.

14 The FDA, CDC and Bausch & Lomb
15 cooperated in an investigation of the
16 possibility of contamination at the
17 manufacturing facility in Greenville, South
18 Carolina. No evidence for contamination was
19 found. *Fusarium* was not recovered from
20 retained lots of care products or water
21 samples, including municipal water, deionized
22 water and distilled water.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This figure shows the number of
2 *Fusarium* as a function of time. It also shows
3 the market share of the Bausch & Lomb
4 MoistureLoc. There appears to be something of
5 a relationship between the two here. The
6 *Fusarium* cases shows a high degree of
7 morbidity. About 30 percent of cases in the
8 U.S. needed corneal transplants.

9 FDA, after considering the
10 epidemiologic evidence and the seriousness of
11 this fungal infection, believed that further
12 actions were warranted. As a result of FDA
13 discussions with Bausch & Lomb, Bausch & Lomb
14 decided to cease sale of the product. U.S.
15 product sales of ReNu MoistureLoc stopped on
16 April 13, 2006. There was a worldwide recall
17 of the product on May 15, 2006. The number of
18 contact lens-related *Fusarium* cases in the
19 U.S. dropped rapidly after the recall. The
20 fact that the outbreak ended within two months
21 of the product recall provided evidence of the
22 success of the action.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The FDA and Bausch & Lomb
2 immediately started looking for answers as to
3 why this outbreak had occurred. The
4 MoistureLoc formula contained two ingredients
5 not found in other multipurpose solutions;
6 alexadine, a disinfectant and polyquartium 10,
7 a moisture-retaining polysaccharide.
8 MoistureLoc also had a high content of
9 poloxamer 407, a surfactant. Pre-market
10 testing had shown MoistureLoc to have a high
11 level of efficacy against *Fusarium*. The
12 *Fusarium* outbreak was unexpected and was a
13 significant public health problem. The FDA
14 started thinking about how some of our pre-
15 market testing procedures might be changed to
16 minimize the possibility of future such
17 outbreaks.

18 The other speakers today will
19 discuss some of our thinking with regard to
20 what we might change in order to improve the
21 safety of contact lens products.

22 Our next speaker will be Jennifer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Verani, a CDC epidemiologist, who will provide
2 an update concerning last year's *Acanthamoeba*
3 keratitis outbreak.

4 DR. VERANI: Good afternoon.
5 *Acanthamoeba* keratitis, or AK, is a rare,
6 potentially blinding infection of the cornea
7 caused by a free-living amoeba that is
8 ubiquitous in the environment. AK primarily
9 affects otherwise healthy contact lens users.

10 Known risk factors among contact lens users
11 include poor contact lens hygiene practices
12 such as improper storage or disinfection of
13 lenses and contact with non-sterile water
14 while using lenses such as swimming or
15 showering with lenses. The estimated
16 incidence in the United States is one to two
17 cases per million contact lens users per year.

18 In May 2006, the Illinois
19 Department of Public Health notified CDC of a
20 possible increase in AK cases in the Chicago
21 area. An ophthalmology group at the
22 University of Illinois at Chicago was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conducting a case control study to identify
2 possible risk factors.

3 In October 2006, CDC informally
4 contacted several ophthalmologists across the
5 country to try to ascertain whether cases were
6 on the rise in other areas as well, however,
7 the results were inconclusive.

8 So in January 2007, we conducted a
9 retrospective survey of 22 ophthalmologist
10 centers nationwide requesting the numbers of
11 AK cases seen per year for the past eight
12 years. The survey results showed an increase
13 in culture confirmed cases starting in 2004,
14 as shown in this graph with number of cases on
15 the Y axis and the year on the X axis.

16 So on March 16, a multistate
17 outbreak investigation was launched. The
18 objectives were to quantify and characterize
19 the increase in AK cases, to identify any risk
20 factors contributing to the increase and to
21 recommend measures to prevent future cases.

22 We began with a case series. Cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were identified as persons diagnosed with AK
2 by an ophthalmologist with symptom onset on or
3 after January 1, 2005 that had a positive
4 culture for *Acanthamoeba* from a corneal
5 specimen such as a scraping or biopsy. Case
6 finding was conducted through Epi-X,
7 ophthalmology and optometry associations and
8 queries of microbiology labs and ophthalmology
9 centers. We collected data through
10 standardized telephone interviews with case
11 patients, their treating ophthalmologist, and
12 for contact lens users, their primary eye care
13 providers.

14 While we planned a formal case
15 control study, we also conducted a preliminary
16 analysis comparing the AK case patients to the
17 controls from the 2006 *Fusarium* keratitis
18 outbreak investigation. The *Fusarium* controls
19 are a group of 126 healthy adult contact lens
20 users who were geographically matched to
21 *Fusarium* cases. Because our case patient
22 questionnaire was similar to the one used in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that investigation, we could conduct a
2 preliminary analysis comparing the AK case
3 patients to the *Fusarium* controls with regards
4 to contact lens-related products and certain
5 hygiene practices and behaviors.

6 By May 23rd, 46 AK case patients
7 had been interviewed. A preliminary analysis
8 conducted at that time using the *Fusarium*
9 controls found a significant association of AK
10 with use of Advanced Medical Optics, Complete
11 MoisturePlus Multipurpose Contact Lens
12 Solution. On May 24th those results were
13 communicated to your colleagues at FDA. On
14 May 25th they were communicated to our
15 collaborators in state and local health
16 departments and to the AMO company. On May
17 26th an MMWR dispatch was released and the
18 company undertook a voluntary recall of AMO
19 Complete MoisturePlus.

20 Following the preliminary analysis
21 and the recall, we conducted a matched case
22 control study. The case patients were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 obtained from the case series. Controls were
2 at least 12 years old with no history of AK.
3 They were matched to cases by contact lens
4 use, either soft contact lenses, rigid contact
5 lenses or no use. They were also matched
6 geographically and reverse address directory
7 was used to phone numbers for potential
8 controls. We used standardized telephone
9 interviews and asked controls about their
10 behaviors and product use during the one month
11 prior to symptom onset of the corresponding
12 case patient.

13 A total of 221 cases were reported
14 from 37 states and Puerto Rico. One-hundred-
15 fifty-eight of those cases were reported to be
16 culture-confirmed. One-hundred-five of those
17 case patients were interviewed and included in
18 the case series. The EPI curve with the
19 number of case patients on the Y axis by their
20 month of symptom onset on the X axis does not
21 reveal any obvious trends over time, nor does
22 it suggest a single time period of peak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure. The case patients were widely
2 distributed geographically throughout 30
3 states, as seen on this map.

4 The case patients were 36 percent
5 male with a median age of 29 years and a range
6 of 12 to 77 years. Eighty-nine percent were
7 contact lens users and of those, 88 percent
8 used soft contact lenses. Presenting symptoms
9 most frequently included pain, redness,
10 sensitivity to light and foreign body
11 sensation. The median time from onset of
12 symptoms to initiation of anti-*Acanthamoeba*
13 treatment was 49 days with a range from four
14 to 197 days. Information on clinical outcomes
15 was available for 85 case patients. Of those,
16 28 percent had either undergone or were
17 waiting corneal transplant. Data on current
18 vision was available for 70 case patients.
19 Forty-one percent had a visual acuity of 20
20 over 200 or worse with best correction in the
21 affected eye.

22 We attempted to enroll match

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controls for all 105 cases. After more than
2 11,000 phone calls, interviews were conducted
3 with 184 controls who were matched to 91 case
4 patients. The cases without matched controls
5 were excluded from subsequent analysis.
6 Separate analyses were conducted for soft
7 contact lens users, rigid contact lens users
8 and non-contact lens users because of
9 differences in potential exposures. However,
10 the numbers of rigid in non-users were small
11 and no significant risk factors were
12 identified. The following results are derived
13 from the 72 case patients and 140 controls who
14 were soft contact lens users.

15 On matched univaried analysis case
16 patients were more likely to be male, under
17 age 25 and Hispanic. Ocular trauma was
18 uncommon among both groups, but was more
19 frequently reported among cases than among
20 controls. Cases were more likely to have used
21 contact lens for less than or equal to five
22 years. Swimming in a lake or river with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 contact lens in was a significant risk factor,
2 while washing the face with contact lens in
3 was surprisingly protective. The use of AMO
4 Complete MoisturePlus was a major risk factor
5 as we had found in the preliminary analysis.
6 Ever topping-off solution, which refers to the
7 addition of new solution to old solution in
8 the contact lens case was also an important
9 risk factor. Always capping the solution
10 bottle after using it was associated with
11 disease. Cleaning lenses at the bathroom sink
12 as compared to in the bathroom but not at the
13 sink and always washing hands before inserting
14 lenses were both protective. An unexpected
15 finding was that less frequent replacement of
16 old contact lens with new ones also appeared
17 to be protective.

18 Only three of these variables
19 remain statistically significant on multi-
20 varied analysis. After adjusting for age and
21 gender, case patients were almost 17 times
22 more likely than controls to have used AMO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Complete MoisturePlus. They were 2.8 times
2 more likely to report ever topping off
3 solution and 2.8 times more likely to have
4 used contact lens for less than five years.

5 There were several negative
6 findings of interest. No association was
7 found between AK and any other contact lens
8 solution type or specific product. Contact
9 lens characteristics such as FDA lens group,
10 whether the material is a silicone hydrogel
11 and whether the lens is surface treated were
12 not associated with disease. Aspects of
13 contact lens use such as daily versus extended
14 wear, the hours used per day or days used per
15 week and ever sleeping with lenses in did not
16 seem to influence risk for AK. Habits related
17 to contact lens hygiene and disinfection, such
18 as rubbing or rinsing lenses during the
19 disinfection process, hand washing before
20 cleaning lenses, handling lenses with wet
21 hands or hours storing lenses in the case were
22 also not significant. Finally, water exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variables such as showering, bathing or
2 swimming in a pool while wearing lenses were
3 not associated with AK. Many of these
4 variables have either been hypothesized to be
5 possible risk factors for AK or have been
6 found to be risk factors for the disease in
7 other studies.

8 AMO Complete MoisturePlus is a
9 multipurpose contact lens solution used for
10 disinfecting, rinsing, cleaning and storing
11 lenses. The product was launched in 2003,
12 just preceding the nationwide increase in AK
13 cases. We found no evidence to suggest that
14 the strong association between AMO Complete
15 MoisturePlus and AK was a result of
16 contamination. Lot numbers were available for
17 21 bottles of AMO Complete MoisturePlus used
18 by case patients; no single lot number was
19 repeated. The wide geographic and temporal
20 distribution of cases also argued against
21 contamination as the cause for the outbreak.
22 We suspect that insufficient anti-*Acanthamoeba*

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activity of the solution may be to blame. A
2 concurrent case control study of AK in the
3 Chicago area which included 55 cases that were
4 not included in our outbreak investigation
5 also found that AMO Complete MoisturePlus was
6 the primary risk factor.

7 There are several parallels between
8 this AK outbreak and the *Fusarium* keratitis
9 outbreak of 2006. Both outbreaks of serious
10 corneal infections occurred primarily among
11 soft contact lens users. The three to four
12 year duration of the AK outbreaks spanned the
13 2006 time frame of the *Fusarium* keratitis. In
14 both outbreaks the primary risk factor was a
15 particular multipurpose solution. For
16 *Fusarium* keratitis it was Bausch & Lomb ReNu
17 with MoistureLoc was recalled in April 2006.
18 Both investigations found no evidence of
19 contamination. Instead, the solutions were
20 thought to have insufficient antimicrobial
21 efficacy. In both outbreaks the practice of
22 topping off solution in the case also emerged

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as an important risk factor. Following the
2 *Fusarium* outbreak, ReNu with MoistureLoc was
3 tested under circumstances that simulated
4 reported practices of the case patients,
5 including topping off solution, and it was
6 found that this practice reduced the
7 antimicrobial efficacy of the solution.
8 Together these outbreaks have raised concern
9 about the safety of multipurpose contact lens
10 solutions.

11 The AMO product was recalled in May
12 2007 following the preliminary analysis
13 conducted as part of this outbreak
14 investigation. Although we stopped enrolling
15 cases in July 2007, we have continued to
16 receive anecdotal reports of cases of AK
17 occurring in patients who continued to use AMO
18 Complete MoisturePlus long after the recall,
19 even as late as March of this year. We
20 included a question about awareness of the
21 recall in our control questionnaire and found
22 that less than half of respondents had heard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about a solution recall in May 2007. Of those
2 less than a quarter could name AMO Complete
3 MoisturePlus as the recalled product. This
4 highlights some of the challenges in recalling
5 a product with a long shelf life. While it
6 quickly comes off the pharmacy or grocery
7 store shelf, it may remain on the bathroom
8 shelf in consumers' homes for quite some time.

9 In order to assess the impact of
10 the AMO Complete MoisturePlus recall, we
11 recontacted the ophthalmology centers and
12 microbiology laboratories that had provided us
13 with the data that initially detected a
14 nationwide outbreak and we asked them to share
15 the numbers of AK cases diagnosed during 2007.

16 It is important to note that these are not
17 incidence rates, since the denominator is
18 unknown. This graph depicts numbers of cases
19 reported by a convenient sample of referral
20 medical centers and laboratories on the Y axis
21 and year of diagnosis on the X axis. This
22 data is not yet complete, however, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 responses from 10 medical centers and labs
2 show that large numbers of AK cases were
3 diagnosed in 2007.

4 Yet if we look more closely at the
5 data from 2007, we realize that this finding
6 is not entirely surprising. AMO Complete
7 MoisturePlus was on the market for the first
8 five months of the year. And we know that
9 some consumers continued to use the product
10 for much longer. There is often a diagnostic
11 delay since AK can mimic other types of
12 keratitis. We found that patients were
13 typically started on *Acanthamoeba*-specific
14 treatment nearly two months after symptom
15 onset. There also may have been diagnostic
16 artifacts with peaks in cases diagnosed soon
17 after the recall and following a series of
18 media reports on the outbreak in late July and
19 early August.

20 In looking at the monthly numbers
21 of cases, we see neither a clear rise nor a
22 clear decline in cases during the seven months

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 following the recall of AMO Complete
2 MoisturePlus. In order to accurately assess
3 the impact of the recall, we must continue to
4 follow this trend into 2008.

5 This outbreak investigation had
6 several limitations. There may have been
7 limited recollection of which products were
8 used one to two years prior to the interview.

9 Reporting bias was also possible following
10 the recall of AMO Complete MoisturePlus. We
11 were unable to assess the role of water
12 treatment type on the risk for AK, a concern
13 that has been raised by some researchers.
14 Because we used geographically-matched
15 controls, which essentially matched on water
16 supply system, our investigation was not well-
17 suited to assess the role of water treatment
18 type.

19 Finally, because their numbers were
20 small, we were unable to detect any
21 statistically significant risk factors among
22 non-contact lens users and rigid contact lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 users. Nonetheless, we found that among soft
2 contact lens users case patients were almost
3 17 times more likely than matched controls to
4 report having AMO Complete MoisturePlus, a
5 finding which validated the results of our
6 preliminary analysis comparing AK cases to
7 *Fusarium* controls. The use of this existing
8 comparison data which was shared by our
9 colleagues in the Mycotics Diseases Branch at
10 CDC enabled rapid public health action months
11 before our case control study was completed.
12 There was no evidence of contamination of AMO
13 Complete MoisturePlus and we suspect that
14 insufficient anti-*Acanthamoeba* activity of the
15 solution may be the underlying cause of the
16 outbreak. Other risk factors included
17 topping-off solution and contact lens use for
18 less than or equal to five years.

19 Further research is needed to
20 evaluate the anti-*Acanthamoeba* activity of AMO
21 Complete MoisturePlus and other solutions. We
22 are completing our follow-up survey of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ophthalmology centers and laboratories for AK
2 cases diagnosed in 2007 and are planning
3 another survey for the first half of 2008 in
4 order to assess the impact of the recall.

5 Our data highlight the importance
6 of promoting healthy habits among contact lens
7 users, particularly avoiding the practice of
8 topping-off. Special emphasis should be
9 placed on new contact lens users as they
10 appear to be at greater risk for developing
11 AK.

12 I apologize for the small font
13 here, but this investigation would not have
14 been possible without the efforts of our many
15 collaborators in state and local health
16 departments, FDA, EPA, our academic
17 consultants and throughout CDC. Thank you.

18 CHAIRMAN BRESSLER: Thank you very
19 much. And we're just going to wait a few
20 seconds to switch the microphones. You got
21 through that challenge, but we appreciated the
22 excellent presentation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, why don't you introduce and
2 then we'll start.

3 DR. LEPRI: Mr. Chairperson, panel
4 members and FDA colleagues--and guests, this
5 afternoon I'm going to speak to you about what
6 we know about contact lens wearers.

7 Doctors Hilmantel and Verani have
8 just provided you with a recap of the *Fusarium*
9 and AK outbreaks. One action in the rapid and
10 multifactorial response performed by FDA was
11 to immediately inform the public. For both
12 outbreaks, FDA issued a public health
13 notification to care providers and an
14 advisement notice to contact lens wearers.

15 In these public documents, FDA has
16 strengthened our recommendations regarding the
17 key behaviors that need to be stressed and
18 implemented by contact lens wearers. Our
19 recommendations are based on the fact that
20 contact lens wearers are unique.

21 According to the data collected by
22 the American Optometric Association in 2003,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are 30 million plus contact lens wearers
2 in the United States. Seventy percent of them
3 are female; they are predominantly myopic and
4 half of all of them range in age from 25 to 44
5 years old. Eighty percent of this 30 million
6 wear daily contact wear lenses and 15 percent
7 wear extended wear soft contact lenses. More
8 than 50 percent wear one-to-two-week
9 disposables. The products and regimens of
10 care for contact lenses are just as numerous
11 and diverse. In fact, the care of contact
12 lenses has continued to evolve and become ever
13 more complicated prior to becoming simplified.

14 Care involves cleaning and
15 disinfecting and at one time also included
16 regular protein removal as well. Contact lens
17 wearers have always had to wash and dry their
18 hands prior to handling lenses and maintain
19 the hygiene of their storage and disinfection
20 cases. And finally, and most importantly,
21 they have to monitor their own wearing time
22 and replacement schedules of both lenses and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solutions.

2 Considering the millions who wear
3 contact lenses and they responsibility they
4 have in the maintenance and care of their
5 lenses, it is a wonder that there are so
6 relatively few complications with respect to
7 the number of wearers. However, these
8 complications can sometime be sight-
9 threatening. What are the sources of these
10 complications? Well, 80 percent are the
11 result of non-compliance with wear and care
12 regimens according to Ky et al. in their 1999
13 study. The most interesting finding in the
14 study was that the consumers' perception of
15 their own compliance behavior is fundamental
16 to minimizing and/or preventing these
17 complications. Various other studies
18 regarding contact lens care compliance have
19 verified this finding.

20 In 2004, DeMatteo published a study
21 analyzing general medical compliance. His
22 study revealed that in 2000 there were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approximately 759.3 million physician visits
2 recorded. 188.3 million of these visits
3 resulted from patients not following their
4 physician's orders. This translates to a non-
5 compliance rate of 24.8 percent for general
6 medical care. The comparison of the contact
7 lens wearing population to the general medical
8 care population proves to be quite interesting
9 as we shall see in the next few slides.

10 Just last year Dohshik et al.
11 identified the complexity of treatment,
12 frequency of duration and the cost of the
13 regiment are the major factors that affect
14 contact lens compliance. And, medical
15 literature has repeatedly emphasized that
16 there is a higher incidence of non-compliance
17 in conditions that are asymptomatic,
18 prophylactic or suppressive in nature. The
19 factors necessary for contact lens safety are
20 indeed exactly those that contribute to non-
21 compliance. In Olivera's self evaluation of
22 contact lens care in college students and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health care workers, it was found that 54.2
2 percent considered themselves poor wearers.
3 Of these, 44.3 percent claim that they were
4 poor wearers because of their inadequate
5 cleaning of lenses or the lens case. Another
6 15 percent admitted to general medical non-
7 compliance.

8 Regarding contact lens procedures,
9 79.1 percent responded that they failed to
10 implement contact lens care procedures and
11 another 30 percent claim that their non-
12 compliance is due to lack of knowledge or
13 being poorly prepared to care for their
14 lenses. Collins found the non-compliance rate
15 of 74 percent in adult wearers who had worn
16 lenses for an average of 2.6 years. This
17 study also found that components of non-
18 compliance to be lack of understanding,
19 improper usage of lens care products and poor
20 hand hygiene. This study population had many
21 symptoms and complaints, yet they did not
22 perceive themselves as non-compliant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Likewise, Turner found a non-compliance rate
2 of 91 percent and Turner's results focused on
3 multipurpose solutions and found that the
4 failure rate was high despite the ease of use
5 of the multipurpose solution. So we see that
6 even when procedures are simple and minimal,
7 non-compliance can still be very high.

8 The previous slides, coupled with
9 what we have learned from the analysis of the
10 *Fusarium* and *Acanthamoeba* outbreaks emphasizes
11 the role of human factors and the safe use of
12 contact lenses and care products. These
13 outbreaks are what calls for better patient
14 and doctor education and improved design and
15 testing for contact lens care solutions.
16 These human factors apply both to the consumer
17 and the manufacturer; the blame does not lie
18 entirely with the consumer. The goal of human
19 factors engineering is to make products
20 efficient, safe and easy to learn and use by
21 understanding how the consumer actually uses
22 the device in the real world. Although errors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are natural, the Center works with industry to
2 prevent and/or reduce use error as well as the
3 consequences of it.

4 Unfortunately, the term originally
5 employed was "user error" implying that there
6 was fault or liability on the part of the
7 device user. The new term, "use error,"
8 correctly spreads the errors to include design
9 and labeling as well as consumer use. This
10 recognizes that simply labeling a device with
11 dos and don'ts usually is not enough to
12 obviate preventable adverse events.

13 Human factors engineering aims to
14 reduce use error, however, it is challenging
15 and begins with initial pre-manufacturing
16 design and continues through pre-clinical and
17 clinical testing, consumer testing and
18 labeling. Human factors is especially
19 challenging for contact lenses and contact
20 lens care products.

21 This slide provides a summary of
22 use errors or non-compliance behaviors in lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wearers. Irregular cleaning of lenses, which
2 also includes skipping daily cleaning or not
3 following recommended disinfection times and
4 also inadequate rinse times. Poor hand
5 hygiene or the total lack of hand washing,
6 using tap water or saliva to wet lenses, not
7 following lens replacement schedules such as
8 extending the wear of lenses beyond the
9 manufacturer's or eye care professional's
10 recommendations, lack of regular eye exams
11 and/or follow-up contact lens exams and
12 irregular replacement of disinfecting
13 solutions. Which, as you've heard numerous
14 times today, includes topping off and reuse of
15 solution. And, they also use solutions far
16 beyond the expiration date.

17 Given what we know about use
18 errors, we have the following recommendations.

19 Labeling should provide written instructions
20 along with the reasons for the various
21 procedural steps and the consequences for not
22 following them. Eye care professionals should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reinforce lens care regimens with their
2 patients and utilize both the patient and
3 practitioner guides provided with the care
4 products. Care products should be designed
5 and tested consistent with consumer use
6 patterns. For example, product labeling
7 should include a discard date for use after
8 opening of the product due to the fact that
9 patients often use solutions far beyond their
10 expiration date.

11 The use of a discard date is
12 recommended because patients are known to use
13 these care products outside of the expiration
14 date long after the effectiveness has waned.
15 Warning the consumer to discard the product
16 within a specific number of days after opening
17 will add a significant layer of protection.

18 The panel will be asked to consider
19 the following question in their deliberations
20 today. Please discuss our proposal for
21 specifying a discard date on lens care product
22 labeling in addition to an expiration date.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 At this meeting today FDA is
2 continuing their response to the two
3 outbreaks. FDA staff will provide for your
4 consideration the changes in labeling, pre-
5 clinical and clinical testing which we believe
6 are needed. The consumer use patterns
7 discussed in my presentation provide the
8 necessary back drop for the next presentation
9 on labeling. All of the issues that I
10 identified will be addressed by our next
11 speaker, Carol Clayton, who will discuss
12 changes in labeling.

13 Ms. Clayton is from the Office of
14 Communication, Education and Radiation
15 Programs. Thank you for your time.

16 CHAIRMAN BRESSLER: Thank you very
17 much.

18 MS. CLAYTON: Hi. My name is Carol
19 Clayton. I am from the Center's Office of
20 Communication, Education and Radiation
21 Programs. I will be talking to you today
22 about patient labeling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I will discuss some general
2 principles for developing patient labeling.
3 Then I will briefly discuss the Center's
4 consumer recommendations from the advice for
5 patient notifications for the *Fusarium* and
6 *Acanthamoeba* outbreaks. Finally, I will
7 discuss proposed new patient labeling for the
8 panel's consideration based on the
9 recommendations and the advice for patient
10 notifications.

11 In developing these proposed new
12 patient labeling statements, we applied
13 patient labeling principles from your guidance
14 document, "Guidance on Medical Device Patient
15 Labeling." It was issued on April 19th, 2001.

16 This guidance addresses writing instructions
17 for use including warning and precaution
18 statements. It does not replace the more
19 specific guidance for daily wear contact
20 lenses and guidance for contact lens care
21 products.

22 In the patient labeling guidance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 document we describe the four elements
2 necessary for an effective warning or
3 precaution. The signal word is used to alert
4 the reader that what follows is important
5 hazard information. Bold, large type,
6 underline or color may help this stand out
7 from the rest of the text. The hazard
8 avoidance directive gives clear instruction to
9 the user on how to avoid the hazard. The
10 clear statement of the nature of the hazard
11 characterizes the severity of the hazard and
12 the likelihood. And finally, the consequences
13 specify the serious adverse events, potential
14 safety hazards and limitations in device use
15 that may result if users do not follow
16 instructions.

17 Its purpose is to give a clear idea
18 of the risk which is likely to increase
19 compliance. Hazard alert research has shown
20 that this element has a significant effect on
21 readers. If the consequences are not
22 included, the alert is less effective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Based on these principles just
2 discussed and applying them to recent contact
3 lens infection outbreaks, we developed
4 proposed new patient labeling instructions for
5 use and warning statements. The proposed new
6 patient labeling is based on recommendations
7 in these two advice for patient documents.
8 Briefly, some of these recommendations
9 included avoiding reuse or topping-off
10 solution, considering rub and rinse cleaning
11 method, using proper lens case care and
12 removing lenses before any water activity. In
13 both of these cases a team of experts from the
14 Center, FDA and CDC was assembled to
15 investigate the outbreaks and develop these
16 recommendations.

17 Now I will discuss the proposed new
18 patient labeling for the panel's consideration
19 based on the recommendations while applying
20 the patient labeling principles.

21 From the *Acanthamoeba* outbreak, the
22 misuse of reusing multipurpose solution in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lens case became a concern. We want to be
2 sure that the contact lens users are aware of
3 this potential problem and how it can be
4 avoided. In addition to the current
5 instruction for use, we propose this new
6 labeling using the principles that was just
7 described earlier. The signal word "warning"
8 in bold, the hazard avoidance directive, "Do
9 not reuse or top-off old solution left in your
10 lens case," the clear statement of nature of
11 hazard, "Solution reuse reduces effective lens
12 disinfection," and the consequence, "Reuse of
13 old solution could lead to serious eye
14 infection." This is most important because it
15 gives the user a clear idea of the risk and
16 hopefully lead to increased compliance.

17 Question for the panel. Please
18 discuss whether our proposed warning on reuse
19 and topping off is warranted. If yes, please
20 identify any other message that should be
21 conveyed in this warning.

22 Our next proposed label. Consumers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to also be aware that reduced rubbing or
2 rinsing times may not be adequately cleaning
3 their lenses. Again, our proposed new
4 labeling includes a signal word, hazard
5 avoidance directive, nature of the hazard, and
6 the consequence.

7 Question for the panel would be,
8 please discuss whether our proposed warning on
9 rub and rinsing time is warranted. If yes,
10 please identify any other message that should
11 be conveyed in this warning.

12 For our next new proposed label,
13 users should also be made aware of rinsing
14 their lens case with the appropriate sterile
15 solution and replacing it at least once every
16 three months. And the importance of proper
17 care of their lens case because of the
18 potential bacterial growth.

19 Question for the panel. Please
20 discuss whether our proposed warning on lens
21 case care is warranted. If yes, please
22 identify any other message that should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conveyed in this warning.

2 Another concern we would like
3 contact lens users to be aware of is the risk
4 of eye infection while involved in water
5 activities such as taking a shower, using a
6 hot tub or swimming.

7 Last question, please discuss
8 whether our proposed instructions for use and
9 warning on water activities are warranted. If
10 yes, please identify any other message that
11 should be conveyed.

12 All the best labeling using all the
13 correct labeling principles we discussed today
14 will not completely eliminate adverse
15 reactions. There can be issues with the user
16 not comprehending the labeling and/or the
17 user's lack of compliance. But if we can
18 provide the best label possible to all who can
19 use it as contact lens users or practitioners
20 to help disseminate the information, the
21 better. If this labeling for some reason does
22 not reach the users, then all of us needs to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be sure this important information is relayed
2 to users some way. There are other
3 communication strategies that can accomplish
4 this and here are a few examples. Thank you.

5 Our next speaker is Dr. Joseph
6 Hutter.

7 DR. HUTTER: Hello. My name is
8 Joseph C. Hutter. I'm a chemical engineer
9 reviewer in the Division of Ophthalmic and ENT
10 Devices. I'm going to discuss lens and care
11 product solution compatibility. My talk will
12 address the current regulatory lens grouping
13 system and its limitations in dealing with new
14 silicone hydrogel lenses and the increasing
15 complexity of care product solutions. The
16 proposed testing strategy based on the
17 currently available silicone hydrogel
18 technologies will be discussed.

19 The FDA regulatory groupings for
20 contact lens materials were initially
21 developed to categorize lens behavior when
22 used with different care product solutions, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well as lens interaction with proteins in the
2 titer film. The concept of lens grouping was
3 first presented as part of the July 1985 FDA
4 draft guidelines for testing contact lenses
5 and care products. The FDA guidelines
6 subsequently resulted from collaboration
7 between the FDA and the contact lens industry,
8 which was facilitated by the Contact Lens
9 Institute and the Contact Lens Manufacturers
10 Association.

11 The monomers used in conventional
12 contact lens polymers can be categorized into
13 three classes: hydrophilic monomers to
14 interact with water to form the basic hydrogel
15 component; hydrophobic monomers to add
16 mechanical strength; and cross-linking agents
17 to form a gel, increase mechanical strength
18 and add thermal and physical chemical
19 stability.

20 For hydrogel lenses the main
21 hydrophilic monomers, which are used alone or
22 in combination, are: hydroxyethyl

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methacrylate, abbreviated HEMA; glycidyl
2 methacrylate, abbreviated GMA; vinyl
3 pyrrolidone, abbreviated VP, and methacrylic
4 acid, abbreviated MA.

5 The primary rationale described for
6 the separation of lenses into groups is
7 related to ionic content. For example, adding
8 methacrylic acid will increase the water
9 content and its negative charge leads to a
10 heightened interaction with tear proteins and
11 preservatives. The secondary mechanism is
12 based on the lens' water content, which is
13 related to the pore size and hydrophilic
14 nature of the material. Low-water non-ionic
15 contact lenses between 38 to 45 percent water
16 typically contain HEMA, vinyl pyrrolidone or
17 glycidl methacrylate. Water non-ionic contact
18 lenses between 70 and 79 percent water
19 generally contain vinyl pyrrolidone-based
20 polymers. The differences in solution
21 interactions depend on the relative amounts of
22 either vinyl pyrrolidone-based monomers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The end result is a four-group
2 system with lenses separated into ionic and
3 non-ionic groups, and further subdivided
4 according to the water content. Group 1 is
5 non-ionic hydrogels less than 50 percent
6 water; Group 2 is non-ionic hydrogels greater
7 than 50 percent water, Group 3 is ionic
8 hydrogels less than 50 percent water and Group
9 4 is ionic hydrogels greater than 50 percent
10 water.

11 In a 1994 guidance for contact
12 lenses, 30-cycle tests with the recommended
13 care regimen were completed. If lens care
14 products have been approved for use with
15 lenses of the same group by the lens care
16 product manufacturer, compatibility testing
17 did not have to be done since compatibility
18 was considered established. Our current
19 practice for lens care manufacturers is to
20 request the category testing with Group 1 and
21 Group 4 lenses. And if silicone hydrogels are
22 included in the indication, representative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hydrogels are tested.

2 The four FDA groups worked well for
3 conventional poly(HEMA) materials. However,
4 the limitations of the groups became more
5 apparent when silicone hydrogels entered the
6 marketplace. There were two well-publicized
7 solution compatibilities with silicone
8 hydrogels. In both cases when care products
9 were tested with these lenses, lenses were
10 distorted out of ANSI dimensional tolerances.

11 The AMO ULtraCare solution was tested and was
12 found to be compatible with FDA Group 3
13 lenses. Despite this, the solution was found
14 to be incompatible with the balifilcon A
15 silicone hydrogel which was initially assigned
16 to FDA Group 3. A precaution was added to the
17 labeling for the AMO ULtraCare solution.
18 Similarly, SoloCare tested and was found
19 incompatible with Group 1 lenses, but was
20 subsequently found to be incompatible with the
21 galyfilcon lens, a silicone hydrogel assigned
22 initially to FDA Group 1. The SoloCare

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 product was replaced in the market with an
2 updated product. Causes of the
3 incompatibilities were never determined.

4 Lenses have changed from simple
5 poly(HEMA) hydrogel materials with oxygen and
6 water transfer occurring through water-filled
7 pores. Silicon hydrogels have some of these
8 same features, but polymer modifications were
9 required to form a hydrophilic phase in a
10 material that had a hydrophobic silicone phase
11 which was added to improve oxygen transfer.
12 To improve hydrophilicity of the lens,
13 modification such as surface treatments,
14 addition of hydrophilic monomers, as well as
15 entrapment of water soluble polymers such as
16 poly vinyl pyrrolidone in a semi-inter-
17 penetrating polymer network have been used.

18 In addition to lens changes,
19 formulations of care product solutions have
20 become more complex. To improve convenience
21 compliance, solutions have been formulated to
22 combine cleaning and disinfection in one step.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The further enhance solution performance new
2 components have been added to improve such
3 things as wettability, moisture retention,
4 lubrication and comfort. For example, in ReNu
5 MoistureLoc, a polymer was added to help
6 retain moisture on the contact lens. This
7 particular cationic water soluble material was
8 also used in hair and skin care products to
9 condition and moisten. It appeared to
10 function due to its ability to attract water
11 from the air and deposit a film to create mass
12 transfer resistance to evaporation. Under the
13 right conditions, Levy and others, found that
14 the polymer film interfered with the
15 disinfection of the *Fusarium* fungus.

16 In the case of AMO Complete
17 MoisturePlus, propylene glycol was added for
18 similar reasons, wetting and comfort, and was
19 identified by AMO as one of the factors
20 contributing to the *Acanthamoeba* outbreak.

21 The International Standards
22 Organization Group Work 9 is considering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adding a fifth group for silicone hydrogels as
2 part of ISO 18369-1. There are differences
3 between each silicone hydrogel and how they
4 interact with care product solutions.
5 Therefore, it's unlikely that one group will
6 fit all materials. I discussed the merits of
7 sub-divisions in my editorial at
8 siliconehydrogels.org. I anticipate that
9 further sub-division will be likely based on
10 the properties such as pore size, ionic
11 content, surface properties and silicone phase
12 considerations. Data to definitively define
13 these subcategories has not been established.
14 Working Group 9 also anticipates further
15 subdivision of Group 5 when data becomes
16 available.

17 Based on the current information,
18 there are four lenses that represent current
19 silicone hydrogel lens technologies:
20 lotrafilcon B has a surface modification
21 plasma polymerization and a relatively high
22 H₂O content. Balafilcon A has plasma

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 oxidation surface treatment and large
2 macropores on its surface. Galyfilcon A is
3 not surface treated, but contains a semi-
4 inter-penetrating network of a water soluble
5 polymer. Comfilcon A is a material that co-
6 polymerized with substantial vinyl pyrrolidone
7 to improve hydrophilicity.

8 There are seven different silicone
9 hydrogels currently on the market and more to
10 come. Without an effective grouping system,
11 the burden is on lens care manufacturers to
12 conduct testing with essentially all the
13 currently available silicone hydrogels. So to
14 make it easier, we would like to provide this
15 proposal for consideration. This list will
16 grow as more silicone hydrogels come on the
17 market.

18 The panel will be asked for the
19 recommendations regarding clinical testing in
20 the absence of a grouping system for silicone
21 hydrogel lenses.

22 Questions for the panel. Please

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discuss whether you agree with ISO's current
2 consideration of having silicone hydrogel
3 lenses as a separate group and FDA's plan to
4 further stratify the silicone hydrogel lenses
5 groups into subcategories.

6 The next speaker will be a
7 microbiologist from the Division of Ophthalmic
8 and ENT Devices, Myra Smith.

9 CHAIRMAN BRESSLER: Thank you.

10 DR. SMITH: Good afternoon. I am
11 Myra Smith from the Division of Ophthalmics
12 and ENT Devices. I will be discussing the
13 microbiology issues.

14 In my presentation I will begin by
15 providing you with an overview of the current
16 microbiology test methods. I will then
17 discuss limitations to the current test
18 methods and studies related to the
19 limitations. And finally, I will outline the
20 microbiology issues for panel consideration.

21 I would like to begin by giving you
22 a brief overview of FDA's current pre-market

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 microbiology test methods for contact lens
2 multipurpose solutions.

3 FDA recognizes the ISO 14729 stand
4 alone test and the ISO 14729 Regimen Test to
5 evaluate disinfection efficacy. FDA
6 recognizes the ISO 14730 anti-microbial
7 preservative efficacy test to evaluate the
8 anti-microbial activity for solutions packaged
9 in multi-dose containers. Each test has its
10 own set of performance criteria which serve as
11 the underlying basis for marketing. These ISO
12 test methods parallel the testing outlined in
13 our care product guidance which predates these
14 ISO standards.

15 Currently the ATCC bacterial
16 strains used in both stand-alone and regiment
17 testing are *Pseudomonas aeruginosa*,
18 *Staphylococcus aureus* and *Serratia marcescens*.

19 The yeast strain used is *Candida albicans* and
20 the mold is *Fusarium solani*. The stand-alone
21 test is designed to measure the rate and
22 extent of microbial kill under ideal test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conditions. It evaluates the potency of
2 sterile fresh solution which is taken directly
3 from a sealed product container. No lenses
4 are added to the test solution. For all test
5 organisms samples are taken at predetermined
6 time intervals up to the minimum recommended
7 soak time. For yeast and mold an additional
8 time point is done to establish that no growth
9 has occurred at approximately four times the
10 minimum disinfecting time.

11 FDA recommends this testing scheme
12 for products with digital rub and rinse
13 directions. ISO 14729 was written and adopted
14 when cleaning instructions included separate
15 rub and rinse steps. The stand-alone test's
16 two-tier performance criteria eliminates
17 evaluation of the entire care regimen for
18 products meeting the more-stringent primary
19 performance levels for microbial kill.
20 Products which fail to meet the secondary
21 performance criteria are rejected for
22 marketing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The development of no-rub products
2 raised concerns that the ISO 14729 performance
3 criteria were inadequate to assess no-rub
4 cleaning directions. Eliminating the digital
5 rub step during cleaning may result in more
6 residual soil and microorganisms on the lens.

7 In addition, the antimicrobial activity of
8 some preservatives decreases in the presence
9 of organic soil. Therefore, all no-rub
10 regimens FDA recommends an additional stand-
11 alone test in which organic soil is added to
12 the test solution. Additionally, an
13 evaluation of the entire care regimen's
14 ability to kill and/or physically remove
15 organisms is recommended using the ISO 14729
16 Regimen Test.

17 The Regimen Test is a simulated use
18 test which is performed according to the
19 manufacturer's proposed directions for
20 cleaning and disinfecting lenses. The test
21 measures both physical removal of a high
22 inoculum due to the rub and/or rinse steps,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and microbial kill of the remaining inoculum
2 during the soak step. It is performed by
3 technicians using gloved hands under aseptic
4 laboratory conditions. Since the test was
5 initiated prior to the development of silicone
6 hydrogel lenses, only conventional soft
7 lenses, Group 1 and Group 4, are included.

8 The same Regimen Test criteria
9 apply for both rub and no-rub products. There
10 is an allowance in the performance criteria
11 for recovery of a very low number of organisms
12 due to an expected variability in performing a
13 care regimen which relies on both physical
14 removal and kill of microorganisms to meet the
15 performance criteria.

16 The preservative efficacy test
17 evaluates the preservative system's ability to
18 prevent microbial contamination in the product
19 for up to 30 days. Testing includes an
20 additional re-challenge inoculum on day 14.
21 Products need to meet the performance criteria
22 throughout labeled shelf life. Currently,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preservative effectiveness also serves as our
2 basis for allowing up to 30-day lens storage
3 after disinfection in an unopened lens case.
4 However, the effects of preservative uptake by
5 lenses are not addressed by this test method.

6 For preservative efficacy testing,
7 the test organisms are: *Pseudomonas*
8 *aeruginosa*, *Staphylococcus aureus*, *Escherichia*
9 *coli*, *Candida albicans* and *Aspergillus niger*.

10 I would now like to discuss why
11 there is a need to update disinfection
12 efficacy testing.

13 First, updating these test methods
14 is essential in light of the association of
15 two different care products with two different
16 outbreaks of microbial keratitis, *Fusarium* and
17 *Acanthamoeba*, that were identified by the CDC
18 during its investigations.

19 Secondly, contact lenses and
20 contact lens care products have changed
21 significantly since the current FDA guidances
22 were provided to manufacturers in the 1990s.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In light of these events, there is a need to
2 improve our predictability of the real world
3 performance for these products.

4 In the recent microbial keratitis
5 outbreaks both identified care products met
6 current FDA ISO performance criteria for
7 cleaning and disinfection. Also, efficacy
8 testing against *Acanthamoeba* is not currently
9 recommended, nor had it been evaluated.

10 Disinfection efficacy may be
11 affected by complex interactions between lens
12 materials, care product formulations,
13 microorganisms and even lens case materials.
14 Preservative uptake by lenses and its effect
15 on antimicrobial efficacy is not adequately
16 addressed by the current methods. Testing
17 with silicone hydrogel lens materials is not
18 part of the Regimen Test protocol. And as
19 noted earlier, disinfection efficacy tests
20 were designed prior to a trend towards no-rub
21 cleaning directions.

22 Current test methods may not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reflect real world experience with these
2 products. For example, Regimen Tests
3 performed with labeled cleaning directions up
4 to 20-second rub or rinse times likely exceed
5 typical consumer practices. Longer rinsing
6 times also may result in very few uses per
7 container due to the high volume of product
8 required. Reduced microbial activity due to
9 improper topping-off by consumers and the
10 potential for biofilm formation on lenses and
11 lens cases, as well as the resistance of
12 clinical isolates may need to be addressed in
13 the updating of pre-clinical tests in order to
14 improve their predictability of product
15 performance.

16 Disinfection and preservative
17 efficacy testing are not always done with
18 product at the lower end of the active
19 ingredient specifications to simulate a worst
20 case for product efficacy. This may
21 unknowingly lead to reduced efficacy in
22 marketed lots.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In a recent study, FDA and CDC
2 examined Alexidine absorption by lenses during
3 soaking and its effect on disinfection
4 efficacy against *Fusarium solani*. During
5 testing both silicone hydrogel and
6 conventional hydrogel lenses were inoculated
7 with *Fusarium solani* in lens cases. Instead
8 of only assaying during the recommended
9 minimum soak time, assays were done at
10 multiple time points for up to seven days.
11 Both Alexidine concentration and antimicrobial
12 assays by the stand-alone test were performed.
13 The study concluded that Alexidine uptake by
14 lenses during soaking significantly reduced
15 preservative concentration in the lens case
16 over time and that there was a corresponding
17 decrease in the antimicrobial efficacy against
18 *Fusarium solani*. This study and others
19 suggest need for further investigations with
20 other care products and lens types.

21 Additional studies reported in the
22 literature have examined a variety of lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 types and solutions formulated with different
2 preservatives. In most cases, decreases in
3 preservative concentration during lens storage
4 affected disinfection efficacy. Both the ISO
5 and ANSI standards organizations are in the
6 process of developing a new test method to
7 evaluate disinfection efficacy in the presence
8 of a lens soaking in a lens case over various
9 storage times. Silicone hydrogel, as well as
10 conventional hydrogel lenses, are proposed in
11 the testing. The same challenge organisms
12 currently specified in ISO 14729 will be
13 included. FDA plans to participate in an
14 industry-sponsored reg test to help validate
15 and refine the methodology.

16 Current test methods use planktonic
17 challenge organisms, however, organisms
18 forming biofilms may be more tightly attached,
19 more difficult to physically remove and more
20 resistant to multipurpose solutions than
21 unattached planktonic organisms. Recent
22 studies have investigated organism attachment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to lenses, biofilm formation and the
2 subsequent susceptibility to lens care
3 solutions. Findings suggest that microbial
4 attachment may vary by lens type and by
5 species, and/or strain of microorganism.
6 However, the effective biofilm formation on
7 lenses or in cases is not evaluated in current
8 disinfection efficacy test methods.

9 Both rub and no-rub products have
10 been cleared by FDA and are currently
11 marketed. In light of the recent outbreaks,
12 FDA is reconsidering the advisability of no-
13 rub care regimens. The potential benefits of
14 retaining a digital rub step may include the
15 removal of additional microorganisms from the
16 lens prior to disinfecting in the care
17 solution. Shih et al found that rinsing alone
18 10 seconds removed three logs of bacteria.
19 The addition of a rub step removed an
20 additional log for a total of four logs.
21 Rosenthal et al compared the Regimen Test
22 performance of standardized rub and rinse,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rinse only for five seconds and no rub or
2 rinse for soak only regimens utilizing various
3 preservative solutions. All solutions passed
4 with the rub and rinse regimen, some regimens
5 failed with the rinse only and all failed with
6 the soak only.

7 Rubbing may also remove additional
8 lens deposits or other debris from the lens.
9 Nichols et al observed lower levels of three
10 to four-plus deposition when subjects who were
11 heavy depositors used digital rub regimens
12 when compared to a no-rub regimen.

13 We are requesting the panel's
14 recommendations regarding the need to include
15 separate rub and rinse directions in the care
16 and disinfection of contact lenses to
17 potentially provide an increased safety margin
18 for patients including separate digital
19 rubbing and rinsing steps prior to
20 disinfection may reduce both the number of
21 microorganisms and deposits on a lens thereby
22 reducing the microbial challenge during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disinfection, having fewer residual lens
2 deposits, less biofilm formation and decreased
3 interference with disinfection efficacy. This
4 may result in cleaner lenses for insertion
5 into the eye.

6 Our panel question is, currently
7 rub and no-rub care products have been cleared
8 by the FDA for marketing in the United States.

9 In light of all the data currently available,
10 please discuss your recommendations for
11 continuing to have no-rub directions in the
12 Product labeling.

13 We are interested in the panel's
14 recommendations regarding our proposed
15 modifications to the Regimen Test in order to
16 improve predictability of real world
17 performance. We are interested in your
18 recommendations regarding the inclusion of
19 marketed silicone hydrogel lenses and for
20 establishing realistic rub and rinse times in
21 the Regimen Test.

22 FDA is currently working with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 members of ISO regarding modifications to the
2 Regimen Test.

3 Our question for the panel is,
4 please discuss our proposal to revise the
5 current Regimen Test in order to improve
6 predictability of real world performance and
7 include the following topics in your
8 discussion: Testing marketed silicone
9 hydrogels, defining worst case rub and rinse
10 times; for example, five-second rub and five-
11 second total rinse time.

12 We are interested in obtaining the
13 panel's recommendations regarding a need for
14 incorporation of *Acanthamoeba* into the current
15 pre-market stand-alone and/or Regimen Testing,
16 as well as newly proposed test methods. In
17 light of the variability of *Acanthamoeba* test
18 methods cited in the literature, we are also
19 interested in panel recommendations regarding
20 the development of new assay methods for
21 *Acanthamoeba*.

22 Our question for the panel is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 please discuss your recommendations for adding
2 *Acanthamoeba* as a challenge organism in
3 disinfection efficacy testing.

4 Newer or revised methods which
5 evaluate preservative uptake by lenses and the
6 effects on disinfection efficacy could be used
7 for identifying lens solution incapacibilities
8 and serve as a basis for a recommended storage
9 time following disinfection. We are
10 interested in the panel's recommendations on
11 such testing.

12 And our question reads as follows:

13 Please discuss our proposal to develop
14 standardized test methods to evaluate the
15 effects of preservative uptake by contact
16 lenses on disinfection efficacy.

17 Finally, we are interested in the
18 panel's recommendations regarding efficacy
19 testing at the lower end of product
20 specifications, and, whether there is a need
21 to test products against more resisting
22 clinical isolates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Our panel question is follows:
2 Please discuss our proposal for modifying
3 disinfection and preservative efficacy testing
4 by testing at the lower end of the active
5 ingredient specifications to simulate worst
6 case conditions and including more resisting
7 clinical isolates in these tests. Thank you.

8 I would now like to introduce our
9 next speaker, Dr. Visvesvara from the Division
10 of Parasitic Diseases at CDC.

11 DR. VISVESVARA: Thank you. Good
12 afternoon, ladies and gentlemen. It's good to
13 be here and such a distinguished panel; I'm
14 very happy to be here.

15 My talk today is on the resistance
16 of *Acanthamoeba* cysts to disinfection in
17 multiple contact lens solutions. My coauthors
18 are Stephanie Johnston, Ramir Sriram, Yvonne
19 Qvarnstrom, Sharon Roy and myself.

20 I would like to tell you that
21 *Acanthamoeba* is a very, very hardy organism.
22 It has got two stages in life cycle, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trophozoite and cyst stage. It's a bacteria
2 feeder and it is ubiquitous for almost
3 everywhere; all seven continents in the world
4 you can find them there going from Antarctica
5 and found everywhere. Swimming pools and
6 power plant effluents and a lot - of number of
7 these you have mentioned all day.

8 But I would like to specifically
9 entertain your attention to the isolation of
10 *Acanthamoeba* from toxic waste dump sites with
11 high levels of pesticides, herbicides,
12 pharmaceuticals, including contact lens
13 solutions, heavy metals, PCBs, et cetera. No
14 wonder they have become resistant to all of
15 these different physical and chemical stimuli
16 that exist in nature resulting from the dust
17 in the air.

18 Now *Acanthamoeba*, it also causes a
19 very chronic granulomatous type of infection
20 called the amebic encephalitis. Goes into the
21 brain, lasts anywhere from two weeks to two
22 years and very gradually kills the patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It also causes sinus infection, mostly in
2 immunocompetent people and also lung infection
3 in people who have suffered transplantation,
4 et cetera. Additionally, it also causes
5 *Acanthamoeba* keratitis as you all very well
6 know.

7 I don't want to talk a lot about
8 *Acanthamoeba* keratitis. You are all experts
9 in this thing. It leads to all kinds of
10 problems. The only thing I want to mention
11 here, *Acanthamoeba* -- I was initially involved
12 in 1973 with the isolation of *Acanthamoeba*
13 *polyphaga* from one of Dr. Dan Jones' patient
14 in Houston, Texas. It was an *Acanthamoeba*
15 *polyphaga*. Both trophozoites and cysts were
16 forming in the corneal tissue, and we did some
17 study on that.

18 Then from '73 to 1980, we used to
19 get cases from different parts of the country
20 to our lab to identify the invading organism,
21 and it invariably turned to be and
22 *Acanthamoeba*, either a *polyphaga* or a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 *castellanii*. There are more than 20 different
2 species of *Acanthamoeba*, but the most commonly
3 found are the *Acanthamoeba castellanii* and
4 *Acanthamoeba polyphaga*, *Acanthamoeba rhysodes*,
5 and recently we are also finding an
6 *Acanthamoeba hatchetti*.

7 We did this in 1983 and we wrote a
8 report in '86, and 20 years later we are
9 revisiting the same thing again. Dr. Verani,
10 my colleague in CDC, they found that there is
11 a multistate outbreak of *Acanthamoeba*. It was
12 for all different places. It was found that
13 some of the contact solutions were not really
14 doing their job properly.

15 So we elected to take some of these
16 11 contact lens solutions. We just pulled it
17 off of the market, from the shelf from the
18 area stores, and we wanted to test whether any
19 of these things have any activity against
20 *Acanthamoeba* cyst stages. I thought
21 *Acanthamoeba* trophozoite is a fairly delicate
22 organism, so I didn't bother to test the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 *Acanthamoeba* trophozoite. But since cyst is a
2 very impervious structure; you got two
3 different strains, an outer coating is a
4 proteinaceous material and an inner cell wall
5 which is a cellulose-based, it's very, very
6 resistant to all kinds of physical and
7 chemical stimuli.

8 So we used for this particular
9 purpose three different species that we had
10 isolated most recently from the *Acanthamoeba*
11 keratitis investigation that we did at CDC in
12 2007. These are the *catellanii*, *polyphaga*,
13 *hatchetti* and what we did was we used to
14 regrow them on our agar plates. I don't
15 believe in using an axonic strain. When you
16 use an axonic amoeba, you are selecting the
17 amoebas. And every time you axenize, only a
18 small proportion of them will really going to
19 axenic culture; the rest of them do not. So I
20 do not believe testing that axonic strains.
21 We always use a strain that is freshly
22 isolated which had all the experience of being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the environment and being able to combat
2 the environmental pressures. So we used that
3 one.

4 And secondly, we used based on the
5 morphologic and genotypic. They all belong to
6 the T4 genotype which is the most commonly
7 found *Acanthamoeba* genotype in the
8 environment. And we used only about 10
9 microliter containing about 100 cysts and put
10 them on one ml of contact lens solutions and
11 then incubate them for either four six hours,
12 or 24 hours, based on the recommendation of
13 the manufacturers.

14 Now when we are looking, after the
15 exposure to the various time periods, we
16 washed the organism in the contact lens
17 solutions and then put them again on agar
18 plate having -- because these are the bacteria
19 feeder. *E. coli* is a very good organism and
20 they feed very much on the *E. coli* and
21 multiply. And when they excyst, the cyst,
22 when they excyst, they do not fit in one place

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and form a plaque. Especially the most recent
2 ones, they do not form plaques. They are
3 wanderers. They wander all over the place.
4 And you can see them by looking at those track
5 marks they produce on the agar plate. They
6 are going right on top of the agar toward the
7 bacteria and they leave a specific mark. If
8 you follow the mark, you will see at the end
9 of each mark an *Acanthamoeba*. There's a very
10 good way of looking at the culture. We used
11 to examine that every two hours, every four
12 hours and some of these contact lens solution,
13 we were able to get them to excyst between a
14 matter of two, three hours. Even after 24
15 hours of exposure. Some of the contact lens
16 solution, for example, we looked at some of
17 these things and none of these had any
18 activity even after 24 hours of exposure to
19 the various solutions.

20 The next one, same thing. In one
21 case Ciba Vision which has hydrogen peroxide,
22 it killed most of the *Acanthamoebas* in all of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the plates. We were not able to recover any
2 of the amoebas at all. But only in the case
3 of *Acanthamoeba hatchetti*, it was very
4 surprising to me, but some of the amoebas were
5 present and not able to colonize the plate
6 over a period of time. And as you have seen
7 here, there are other also here Bausch & Lomb,
8 only after 24 hours some were able to excyst
9 and then produce the -- yes.

10 So in the research, what I would
11 like to just summarize, that only one of the
12 solution which had hydrogen peroxide, Ciba
13 Vision Care demonstrated the greatest
14 inactivation of cysts of all three species of
15 *Acanthamoeba*. Of the 11 contact solutions
16 tested, two of them showed some activity
17 against *Acanthamoeba Castellanii* cyst. Ciba
18 Vision Care was 100 percent effective at both
19 six and 24 hours. But as Boston Simplus, or
20 the Bausch & Lomb, had no activity at four
21 hours, but was active, say 66 percent
22 effective at the 24 hours. Similarly here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also, the four other solutions had some
2 activity after 24 hours, like the Bausch &
3 Lomb Boston Simplus and Bausch & Lomb ReNu
4 with MoistureLoc, that was 33 percent
5 effective at killing cysts of *Acanthamoeba*
6 *polyphaga*. That means they were not able to
7 excyst on the plate. The Ciba Vision Aquify
8 and Kirkland Signature MPS were 66 percent
9 effective at *Acanthamoeba polyphaga*.

10 In the case of *Acanthamoeba*
11 *hatchetti*, only the Ciba Vision Clear had 100
12 percent effective at six hours, whereas it was
13 33 percent effective at the 24-hour contact
14 lens. Bausch & Lomb Simplus also had some
15 activity at the 24-hour contact time. But
16 it's best that can be concluded that only
17 though the Ciba Vision Care with had the three
18 percent hydrogen peroxide was able to
19 effectively kill off a *Acanthamoeba*
20 *castellanii* cyst and *Acanthamoeba polyphaga*.
21 But and yet both at six and 24 hours, whereas
22 in the case of *Acanthamoeba hatchetti*, it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 only 33 percent effective after 24 hours.

2 Finally, with solutions without
3 hydrogen peroxide had varying activity against
4 *Acanthamoeba*, but none had any activity at
5 four hours of contact time. Some of them had
6 after 24 hours. So but you have to realize
7 that most contact lens wearers do not soak
8 lenses longer than eight to 12 hours, all
9 night. So, we could not do a eight to 12
10 hours because of logistics problem. We had to
11 get somebody to come at 9:00, at 10:00 and to
12 look at those things and I do not have any
13 post-doctors, you know, who I could ask them
14 to come in and do that thing, so I can't do
15 that thing. So, that's how we had picked up
16 24 hours. And thank you for your attention.

17 CHAIRMAN BRESSLER: Thank you.

18 Our next speaker then will be Dr.
19 Molly Ghosh on lens solution interactions.

20 DR. GHOSH: Good afternoon. My
21 name is Molly Ghosh. I'm a toxicologist with
22 the Division of Ophthalmic and ENT Devices at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CDRH. My presentation will be on lens
2 solution interactions from a biocompatibility
3 perspective.

4 Here is the overview of my
5 presentation. First, I'd like to give you
6 some background as to why we are looking at
7 interaction between lens and lens care
8 solutions. Then I will present FDA's guidance
9 proposal for cytotoxicity testing of
10 multipurpose solution to address such
11 interactions and would like to get panel's
12 recommendations.

13 FDA's 1997 guidance document is
14 currently followed by the manufacturers for
15 preclinical testing of contact lens care
16 products. However, as new products evolve and
17 new issues arise, it is important to
18 reevaluate testing recommendations. Silicone
19 hydrogel lenses were introduced in 1999. Use
20 of silicone hydrogel lenses for daily wear has
21 been increasing over the years, as is the use
22 of the multipurpose solutions. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701