

1 somebody either walks into the clinician's office with  
2 a community acquired pneumonia or when somebody comes  
3 into a hospital with one, and I think he'll have some  
4 reasonable observations to make.

5 In addition, we have three other experts'  
6 who have come along today to help enrich the  
7 conversation and help answer questions that you may  
8 have. Dr. George Drusano, professor and director in  
9 Albany, is here. He has been involved in the  
10 pharmacokinetics and the pharmacodynamic modeling of  
11 the data that's been derived from our clinical trials.

12 Dr. Charles Fogarty, Medical Director of  
13 Respiratory Therapy at Spartanburg, South Carolina,  
14 has been involved in clinical trial and actually  
15 produced cases that we have included in our  
16 presentation today.

17 And Dr. George Eliopoulos is here,  
18 Assistant Professor of Medicine and Director at Beth  
19 Israel Deaconess Medical Center at Harvard, because he  
20 **comes** with a wealth of experience in this field, and  
21 **I'm** sure he can help answer any questions you may  
22 have.

23 Finally, I'd like to remind you of our  
24 design to expand the labeling claim for levofloxacin.  
25 The highlighted words there are what we would like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 add to our current uses section of our labeling. It's  
2 the treatment of community acquired pneumonia due to  
3 Streptococcus pneumoniae, including penicillin  
4 resistant and intermediate strains.

5 So with that in mind, I would like now to  
6 hand over and start this substantial part of our  
7 presentation with Dr. Karen Bush.

8 DR. BUSH: Good afternoon. This afternoon  
9 I will be concentrating on the preclinical  
10 microbiological data that relates to levofloxacin,  
11 especially against penicillin resistant Streptococcus  
12 pneumoniae.

13 In my talk, I will be addressing the  
14 mechanism of action, the selection of resistant  
15 isolates, mechanisms of resistance. I will address  
16 some of the surveillance data very similar to some of  
17 the data that you have already seen, and briefly talk  
18 about the activity in animal models.

19 The overview of my talk indicates that we  
20 all have realized that penicillin resistance is  
21 increasing in Streptococcus pneumoniae, but high level  
22 levofloxacin resistance is slow to develop. It  
23 requires two mutations in topoisomerase and/or DNA  
24 gyrase, and these are unrelated to penicillin  
25 resistance mechanisms.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 We have shown from our surveillance  
2 studies that throughout most of the world levofloxacin  
3 remains greater than 97 percent susceptible or the  
4 Streptococcus pneumonia isolates are greater than 97  
5 percent susceptible to levofloxacin.

6 We have shown in vitro that levofloxacin  
7 is equally active against penicillin susceptible and  
8 resistant isolates, **and we will** show that it is  
9 efficacious in animal models predicting efficacy in  
10 humans.

11 Two of the major microbiological  
12 attributes of levofloxacin that we think contribute to  
13 its antibiotic activity indicate that this is a  
14 rapidly bacteriocidal agent. Time kill kinetics from  
15 Dr. Peter Appelbaum's laboratory indicate that there  
16 is no difference in time kill kinetics in  
17 Streptococcus pneumoniae regardless of penicillin  
18 susceptibility.

19 We show that there is a post antibiotic  
20 effect, again, that seems to be independent of the  
21 penicillin susceptibility of the organisms. The mean  
22 PAE is about two and a half hours.

23 These are all data that have been reported  
24 in the literature from Dr. Appelbaum's laboratory and  
25 also from data that we have internally.

1                   If we look at the killing mechanisms that  
2                   are required for beta lactams as compared to  
3                   quinolones, we see that these are two unrelated  
4                   mechanisms. Penicillins kill by inhibiting the  
5                   essential cell wall synthesizing enzymes known as  
6                   penicillin binding proteins or PBPs, whereas  
7                   quinolones inhibit by one of two mechanisms or by both  
8                   mechanisms, and that is inhibition of DNA gyrase.

9                   In E. coli, this appears to be the primary  
10                  killing target for quinolones, whereas -- or for  
11                  levofloxacin -- and the inhibition of topoisomerase IV  
12                  appears to be the primary killing target in  
13                  Streptococcus pneumoniae.

14                  Data that support this are reported for E.  
15                  coli from Hoshino, et al., in 1994. Here we see that  
16                  the IC-50 as determined for the topoisomerase IV  
17                  activity and the DNA gyrase activity. The IC-50s are  
18                  much lower for the DNA gyrase compared to the  
19                  topoisomerase IV activity, indicating that gyrase is  
20                  the primary target in E. coli.

21                  However, for Streptococcus pneumoniae we  
22                  see that in published data from Pan and Fisher, this  
23                  is data on Streptococcus pneumoniae, topoisomerase,  
24                  and DNA gyrase that was published earlier this year.  
25                  A study was done with ciprofloxacin, sparfloxacin, and

1       clinafloxacin. However, levofloxacin was not included  
2       in this set of data. So we requested Dr. Fisher if he  
3       could generate this data for us to see how  
4       levofloxacin compared.

5               In the published study ciprofloxacin and  
6       sparfloxacin appeared to be using topoisomerase IV as  
7       the primary killing target compared to the activity  
8       against the DNA gyrase. Clinafloxacin appeared to  
9       have approximately equal **IC-50** values, as was seen in  
10      Staphylococcus aureus.

11              When levofloxacin was tested in a separate  
12      set of experiments with ciprofloxacin and  
13      clinafloxacin as the comparators, again, ciprofloxacin  
14      appeared to have lower IC-50 value for the  
15      topoisomerase IV activity.

16              Levofloxacin paralleled the activity if  
17      ciprofloxacin, again, with a preferential inhibition  
18      of the topoisomerase IV activity. Clinafloxacin,  
19      again, appeared to be equal as it was in the previous  
20      studies.

21              In my talk today I will be using the  
22      current NCCLS interpretative criteria for defining  
23      susceptibility for penicillin, erythromycin,  
24      levofloxacin and vancomycin. The penicillin break  
25      points that we will be discussing, susceptible are

1 less than or equal to 0.06 micrograms per mL;  
2 intermediate, .12 to 1 micrograms per mL; resistant,  
3 greater than or equal to 2 micrograms per mL.

4 For levofloxacin, the break points are  
5 less than or equal to 2, 4, and greater than or equal  
6 to 8 micrograms per mL.

7 When we begin to talk about resistance, we  
8 see that the resistance mechanisms for penicillins and  
9 quinalones, as we would expect, are unrelated.  
10 Penicillins, the primary killing target is penicillin  
11 binding protein. The resistance mechanism that is  
12 operative for beta lactams is the incorporation of  
13 foreign DNA into the genes that encode the PBPs.

14 This results in lower binding affinities  
15 for beta lactams. In general, all beta lactams it was  
16 thought tended to parallel the activity of  
17 penicillins. We now know that there can be additional  
18 point mutations, such that there can be a differential  
19 in the binding affinities for the different beta  
20 lactams.

21 Quinalones have target mutations in either  
22 gyrase with the subunits of gyrA or gyrB, or in  
23 topoisomerase IV with mutations possible in either of  
24 the subunits for parC or parE. These are both  
25 chromosomal mutations that occur. They do not appear

1 to be related. It is not like Gram negatives where  
2 you can get plasma mediated linked resistances.

3 Recently there has also been an efflux  
4 mechanism that has been described for quinalone  
5 resistance. There are three different genes that have  
6 been named in the literature. At this point we don't  
7 know if some of these may be overlapping.

8 I'd like to talk about some of the studies  
9 that have shown the selection of resistance in in  
10 vitro studies using Strep. pneumoniae and various  
11 quinalones. This study, published by Fukuda and  
12 Hiramatsu this year in AAC, four of the quinalones  
13 that were examined included levofloxacin,  
14 ciprofloxacin and sparfloxacin.

15 The isolates were subjected to serial  
16 passages at one through 16 times the MIC, and the  
17 frequency of mutation was identified. At twice the  
18 MIC, levofloxacin had a measurable frequency of  
19 resistance of two times ten to the minus seventh. The  
20 other three quinalones in the list here had resistance  
21 that developed more rapidly than could be measured in  
22 this particular set of experiments.

23 At four times and eight times the MIC, no  
24 resistance was seen with levofloxacin that could be  
25 measured under these conditions, whereas even at eight

1 times the MIC with sparfloxacin there still was a very  
2 rapid selection of resistance.

3 In another set of studies using an in  
4 vitro model of Streptococcus pneumoniae infection,  
5 there was a study reported by Lacy from Charles  
6 Nightingale's laboratory, again, published this year  
7 in AAC.

8 This was a centralcompartmentmodelusing  
9 four Streptococcus pneumoniae isolates. It was a  
10 model that simulated the human pharmacokinetic  
11 parameters, and in the studies that I will show we  
12 will see a comparison of the pharmacodynamic profiles  
13 that have been compared for ciprofloxacin and  
14 levofloxacin.

15 In the data that I will be presenting,  
16 bacterial growth and susceptibilities were determined  
17 **at 24 and 48 hours.**

18 In this study, what I have shown here are  
19 the data for ciprofloxacin and levofloxacin, four  
20 strains of Streptococcus pneumoniae. Peak to MIC  
21 ratios for ciprofloxacin ranged from .5 to 4. AUC  
22 over MIC ratios for ciprofloxacin ranged from 3.8 to  
23 **28.**

24 If we look at the initial MICs, these  
25 ranged from one to four for ciprofloxacin. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 studies were done in duplicate. So there are  
2 duplicate numbers for some of these.

3 We see the MICs at 24 and 48 hours. We  
4 see that in all cases, ciprofloxacin had an organism  
5 that was present with an MIC for cipro. of either  
6 four, eight, 16 after 24 hours; again, resistant  
7 isolates after 48 hours under these conditions.

8 With levofloxacin, the peak to MIC ratios  
9 ranged from 1.4 to 5.2. AUC over MIC ratios ranged  
10 from 14 to 55. MICs here ranged from one to four.  
11 These are fully susceptible. This is an intermediate  
12 strain.

13 After 24 hours, there was no growth with  
14 the first two sets of isolates. At 48 hours, there  
15 was no growth for the first three isolates. In the  
16 fourth isolate where there was growth, the MIC did not  
17 change from the initial isolate, indicating that  
18 resistant isolates were not being developed in this or  
19 not being selected in this particular set of  
20 experiments.

21 In a third set of studies looking at the  
22 selection of resistant isolates in an in vitro model,  
23 this is a set of studies from Peter Appelbaum's  
24 laboratory. There were ten different Streptococcus  
25 pneumoniae isolates that were examined. Serial

1 passages were done at sub-MIC levels, and the strains  
2 were passaged until a resistant isolate was  
3 identified.

4 The data I'm presenting are for  
5 ciprofloxacin and levofloxacin. As you can tell, in  
6 all of the ten strains, resistance developed more  
7 rapidly when ciprofloxacin was the selecting quinolone  
8 compared to levofloxacin, and in some cases you can  
9 see there's a very dramatic differential between the  
10 two agents.

11 When resistance develops, as I mentioned  
12 before, it can be due either to changes in the DNA  
13 gyrase in either gyrA or gyrB, or in topoisomerase in  
14 parC or parE.

15 In the study from Fukuda and Hiramatsu,  
16 this is an in vitro selection of strains. There was  
17 a set of analyses done showing that a single mutation  
18 in gyrA of either the serine to threonine or a  
19 tyrosine did not alter the MIC for levofloxacin.

20 A single change in parC at either serine  
21 79 or aspartic acid 83 resulted in a one dilution  
22 increase in the MIC. All of these are in the  
23 susceptible range.

24 In a study reported by Jorgensen and  
25 Tenover, again, this year in MC, there was a series

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of clinical isolates that were analyzed for mutations  
2 in either gyrase or topoisomerase. In this set of  
3 studies there were attempts to find mutations in gyrA,  
4 gyrB, parC and parE.

5 Again, in the clinical isolates with a  
6 single change in parC, the MICs for levofloxacin were  
7 in the intermediate range, not in the fully resistant  
8 range. Double mutations in gyrA and parE or gyrA and  
9 parC gave higher MICs that now were in the fully  
10 resistant range.

11 So it appears that a single mutation does  
12 not give us a fully resistant levofloxacin MIC. It  
13 takes two mutations or more.

14 I had mentioned that there are efflux  
15 mutants that are now known to exist. This is a study  
16 from Zeller that was reported in 1997. There were a  
17 set of -- two sets of isogenic strains reported in  
18 this particular study, one of which had an efflux pump  
19 which was defined as this FqA efflux pump, one which  
20 did not have efflux.

21 With ciprofloxacin, the presence of the  
22 efflux pump resulted in MICs that were elevated  
23 fourfold or 16-fold. Interesting, with ofloxacin,  
24 there was a fourfold or eightfold increase in MIC,  
25 whereas with levofloxacin there is only a twofold

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 increase in MIC in the presence of this efflux pump,  
2 suggesting that levofloxacin in the presence of efflux  
3 is not significantly affected.

4 If we move now into some of the  
5 surveillance data that we wanted to present, this is  
6 a slide very similar to that which was presented by  
7 Dr. Whitney. I've collected data from a number of  
8 different sources.

9 The major point that I think I'd like to  
10 reemphasize is that, again, penicillin intermediate  
11 strains began to be reported in the early to mid-  
12 1980s. Late 1980s we began to see some reports of  
13 macrolide resistance. By the time we got into the  
14 1990s, macrolide resistance and fully penicillin  
15 resistant isolates, which are in the yellow here were  
16 definitely becoming very prominent in our surveillance  
17 studies.

18 These isolates are not only penicillin  
19 resistant, as was indicated by Dr. Whitney. There,  
20 again, is a strong multi-drug resistance character to  
21 the isolates, particularly those that are penicillin  
22 resistant.

23 As we look across the penicillin  
24 stratification from susceptible to intermediate to  
25 resistant, we see that with the beta lactams, with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 macrolides, and with trimetheprim sulfa in the fully  
2 penicillin resistant isolates at best we have 25  
3 percent susceptibility.

4 This is based on data from the Internal  
5 Trust studies that I will discuss very shortly.

6 Thelevofloxacin susceptibility remains at  
7 99 percent or better across the penicillin  
8 stratification, vancomycin fully susceptible as was  
9 shown by Dr. Whitney.

10 The Trust data that I referred to in the  
11 previous slide is tracking resistance in the United  
12 States today. These are studies that are sponsored by  
13 Ortho-McNeil Pharmaceuticals. They have been directed  
14 by Clyde Thornsberry. Dawn Sahm has been involved  
15 with this.

16 There have been three respiratory seasons  
17 in which surveillance has been conducted. 1996 to  
18 1997 was the first respiratory season. Etest was used  
19 for the testing of the isolates. There were over  
20 9,000 isolates in that particular study that were  
21 Strep. pneumoniae clinical isolates.

22 In 1997-'98 and '98-'99 the testing method  
23 was microblot dilution. There were 98 common sites in  
24 the two years so that there could be a comparison of  
25 the change in susceptibilities over the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE.. N.W.  
WASHINGTON, D.C. 20005-3701

1 hospitals. A total of 2,950 isolates in '97-'98 and  
2 almost 4,300 in '98-'99.

3 In a set of studies -- and I'd like to  
4 emphasize that the Trust studies are ongoing. We are  
5 not just stopping with these three years of Trust  
6 data.

7 In early surveillance data from other  
8 laboratories, we see that if we look at levofloxacin's  
9 susceptibilities compared to penicillin  
10 susceptibilities, that in these four studies in which  
11 there was a differentiation in terms of penicillin  
12 susceptibility, the MIC-50s and MIC-90s within a study  
13 remained constant regardless of whether the penicillin  
14 susceptibility was S, I, or R.

15 We see that there was no change in MIC-  
16 90s. In most cases we had almost 100 percent or 100  
17 percent susceptibility. There was one study here  
18 where there were a few isolates greater than eight  
19 MICs.

20 In the Trust studies from the three  
21 respiratory seasons that I described previously,  
22 again, with stratification according to penicillin  
23 susceptibility, in 1996-'97, MIC-50s, MIC-90s remained  
24 constant across the various penicillin susceptibility  
25 stratifications.

1 In terms of percent susceptible, these  
2 were essentially the same numbers for all of the  
3 different stratifications. In '97-'98 and '98-'99,  
4 MIC-50s, .5; MIC-90s, 1. We see here that, again,  
5 there was 99.7 to 100 percent susceptibility in '97-  
6 '98, and in '98-'99 it's 99.0 to 99.6 percent  
7 susceptible.

8 If we look at the 25 levofloxacin  
9 resistant strains of Strep. pneumoniae in the last  
10 year of the Trust studies, this represented .6 percent  
11 of the 4,296 isolates that we had. These 25 isolates  
12 came from 18 out of 96 hospitals. Obviously no  
13 hospital contributed a major proportion of these  
14 isolates.

15 The hospitals that had the levofloxacin  
16 resistant isolates in 1997-'98 showed no resistance in  
17 '98-'99. Those hospitals that had resistant isolates  
18 in '98-'99 showed no resistance in '97-'98.  
19 Therefore, we did not see any clustering of  
20 levofloxacin resistance from the two years of these  
21 Trust studies.

22 Dr. Whitney addressed the paper in the New  
23 England Journal by Dr. Chen and Lowell and the  
24 Canadian Surveillance Network, and I'd like to give  
25 you a slightly different perspective of that study.

1           The first set of isolates from that study  
2 were reported in 1994-'95 respiratory season, reported  
3 in AAC in 1996. At that time, there were  
4 approximately 1,100 isolates that were identified.

5           In this particular population, there were  
6 four isolates that had MICs greater than four to  
7 levofloxacin. Total susceptibility was 99.6 percent  
8 to levofloxacin.

9           If we look at the latest data that were in  
10 the New England Journal article, there were 7,551  
11 isolates, approximately seven times the number of  
12 isolates that were reported in this initial study.  
13 Twenty-five of these isolates were resistant to  
14 levofloxacin. Seventy-five of them were resistant to  
15 ciprofloxacin.

16           The paper that is entitled "Increase in  
17 Fluoroquinolone Resistance," as noted by Dr. Whitney,  
18 is based on ciprofloxacin MICs greater than four. If  
19 we look at the data for levofloxacin, the percent  
20 susceptibility has not changed from the initial  
21 reporting in this particular set of isolates.

22           We have conducted surveillance studies  
23 through the Trust studies throughout the world, and if  
24 we look at all of the countries, with the exception of  
25 Hong Kong, we see 99 to 100 percent susceptibility



1 throughout the world in terms of levofloxacin.

2           However, this study from Hong Kong that  
3 was reported last spring in AAC by Dr. Ho, et al., we  
4 have found out recently represents a clonal outbreak  
5 of ten isolates out of this **181** that are levofloxacin  
6 resistant. Overall, however, there is still a 95  
7 percent susceptibility to levofloxacin.

8           So throughout the world we are seeing that  
9 there is still a very high susceptibility to  
10 levofloxacin.

11           Perhaps the question about the use of  
12 levofloxacin and the possibility of increased  
13 resistance is exemplified by these sets of data from  
14 Japan. This shows the number of quinalone  
15 prescriptions in Japan from 1993 to 1998.  
16 Levofloxacin began to be sold in **1994**. Ciprofloxacin  
17 and ofloxacin were sold prior to that time. At this  
18 time levofloxacin is the largest selling quinalone in  
19 Japan.

20           However, if we look at susceptibility we  
21 see that there are still 99 percent of the Strep.  
22 pneumoniae isolates that are susceptible to  
23 levofloxacin even with the increased use of  
24 levofloxacin in Japan.

25           I'd like to finish by talking a little bit

1 about some of the animal models that have been done  
2 with levofloxacin and Streptococcus pneumoniae. What  
3 we see is that there have been a number of studies in  
4 which there were lower respiratory infections in mouse  
5 models. There have been at least four different  
6 studies in the literature showing that levofloxacin  
7 significantly decreased the CFUs in lung tissue  
8 compared to an untreated control.

9 The one study that I want to go into some  
10 detail about is a study by Vesga and Craig show that  
11 levofloxacin was efficacious in Streptococcal thigh  
12 infections.

13 In this set of studies neutropenic and  
14 normal mice were examined. There was one strain of  
15 penicillin intermediate Streptococcus pneumoniae and  
16 seven strains of penicillin resistant Streptococcus  
17 pneumoniae.

18 This is a set of isolates showing the dose  
19 response of levofloxacin that was administered every  
20 six hours against Strep. pneumoniae in the murine  
21 thigh model, again, reported at Vesga and Craig at  
22 ICAAC in 1996.

23 As you can see, there is a dose response  
24 that was seen both with the neutropenic mice and the  
25 normal mice.

1           Perhaps more important in this model was  
2           the pharmacodynamic examination of the data. Here we  
3           see a plot of the AUC over MIC and the drop in the log  
4           CFU per thigh at 24 hours.

5           The line that is drawn here represents a  
6           static effect, and if we look at the normal mice here,  
7           the static effect occurs at an AUC over MIC that is  
8           slightly higher than 20.

9           If we look at a more stringent set of  
10          criteria and use a one log drop, at this point the AUC  
11          of MIC is approximately 30. So we are seeing efficacy  
12          in this model with an AUC over MIC in the range of 20  
13          **to 30.**

14          The implications of this for the human  
15          pharmacokinetics then show that in the static model an  
16          AUC over MIC greater than 20 should predict efficacy.  
17          In normal humans, the 24 hour AUC is 54.

18          If we use our MIC if two micrograms per  
19          mL, which is the break point for levofloxacin, then we  
20          see an AUC over MIC of 27, which is well within the  
21          range of the 20 to 30 that we had predicted from the  
22          mouse model.

23          Using an MIC of one, which is the MIC-90  
24          from the Trust data, we have an AUC over MIC of 54.

25          The conclusion of the poster or of the

1 presentation at ICAAC was that levofloxacin would be  
2 effective against PRSP infections in humans.

3 So in conclusion then we see that  
4 penicillin resistance is increasing. High level  
5 resistance requires two mutations that are unrelated  
6 to penicillin resistance.

7 We see a slow development of resistance  
8 compared to ciprofloxacin. We see greater than 97  
9 percent susceptibility to levofloxacin in most of the  
10 world. Levofloxacin is equally active in vitro  
11 against penicillin resistant and susceptible  
12 Streptococci.

13 And in murine models with penicillin  
14 resistant Strep. pneumoniae, we see efficacy with an  
15 AUC over MIC ratio of greater than 20, and I think  
16 this is a good point for me to lead into Dr. Corrado,  
17 who will show that these predictions will hold out as  
18 we go into our human model.

19 DR. CORRADO: Thank you, Karen.

20 I think probably I'd like to begin by  
21 reviewing a little bit about the history of these  
22 organisms, penicillin resistant pneumococci.

23 They were first described in Papua, New  
24 Guinea in 1967. I think most of us were ignorant of  
25 this at the time, but our eyes really were opened wide

1 and raised our eyebrows when we heard about the cases  
2 that came out of South Africa in the late 1970s from  
3 gold mines.

4 And at that time I think all of us were  
5 kind of hoping that this was going to be a novelty.  
6 It would go away. Strep. pneumo. was supposed to be  
7 a behaving son that we could deal with. We understood  
8 it.

9 It wasn't the case because in the '80s it  
10 became very apparent that in Europe there was a clear  
11 increase in the reporting of these organisms, and at  
12 that time I think we knew it was just a matter of time  
13 when it would be in North America. We just hoped it  
14 would take a little bit longer period of time.

15 And as you've heard today, in the early  
16 1990s we began seeing fully resistant pneumococci in  
17 the United States, and in the mid-1990s to late 1990s,  
18 these have been increasing steadily.

19 The fact is that penicillin resistant  
20 Strep. pneumoniae lives among us, is pathogenic, and  
21 we need to consider our therapeutic options.

22 Why consider levofloxacin? Well, as  
23 you've heard, the overwhelming percentage of  
24 pneumococci have remained susceptible to levofloxacin  
25 after 15 years of quinalone use in this country.

1           There is no mechanistic linkage between  
2           susceptibility to penicillins and quinolones with  
3           respect to pneumococcus, and it is potentially true  
4           that use of Levaquin could decrease the amount of  
5           vancomycin we need to use for these organisms with an  
6           attendant benefit there.

7           Finally, the use of levofloxacin, because  
8           it is completely bioavailable when given orally, could  
9           decrease the number of hospitalizations, and at first  
10          blush, the benefit that could be derived there would  
11          be by decreasing hospitalizations, we decrease the  
12          burden of cost on the community.

13          But there's a second one. Pneumococci can  
14          be nosocomial pathogens, and to decrease the number of  
15          fully resistant pneumococci coming into the hospital  
16          could decrease nosocomial transmission among our most  
17          debilitated people.

18          What I'd like to do for you today is  
19          discuss the data for levofloxacin the way I would like  
20          to hear it in the information as regarding four  
21          distinct areas.

22          One, what is the pharmacokinetic profile?  
23          That's important because a drug needs to get to the  
24          site of infection and it's got to carry a big stick.  
25          If it doesn't get there in sufficient amount of

1 quantity or activity, it's not going to be credible.

2           Unfortunately, I can remember using drugs  
3 like colistin for therapy of Pseudomonas aeruginosa  
4 pneumonia, a drug that stayed in the vascular tree,  
5 but didn't get into the lung tissue, and we had  
6 abysmal, high percentage of failures with Pseudomonas  
7 aeruginosa pneumonia. So the drug has to get to the  
8 site where the infection is.

9           Secondly, what is the intrinsic activity  
10 of the drug and what is the clinical data for  
11 resistance potential? Proof of the pudding is always  
12 in the eating. So we're going to share with you the  
13 data on efficacy from clinical trials.

14           And lastly, as important as any is the  
15 safety profile of the drug.

16           Now, I'd like to share with you at this  
17 time -- you may be wondering why I'm here since I'm  
18 not with the company. It's not just because I'm  
19 another pretty face. It's because I knew the data,  
20 and I've been around the block two or three times,  
21 maybe four times, and I remember a drug that I used  
22 frequently in the late '60s and the early '70s,  
23 cephalothin. It was a great drug at that time. It  
24 was a cephalosporin, and because I'm not the most  
25 brilliant guy in the world, I compartmentalize things.

1 I said I understand cephalothin, and this  
2 is a cephalosporin. Therefore, I under  
3 cephalosporins, and cephalosporins don't cross the  
4 blood-brain barrier. Well, I was wrong. We've become  
5 known that most cephalosporins cross the blood-brain  
6 barrier. Cephalothin doesn't.

7 I also became aware of the fact that if  
8 you use a beta lactem, you can select your beta  
9 lactemase elaboration, and I thought any beta lactem  
10 will do that, and I have some to find out that some  
11 beta lactems are much better at that than others.

12 And so within a class some drugs can  
13 engender resistance more and some drugs are  
14 distributed differently, and I think we need to look  
15 at each drug independently, and that's what I'm going  
16 to do for you now with levofloxacin.

17 And I'm going to do that first on the  
18 pharmacokinetic data. I'd like to show you data that  
19 you will see from the package insert of levofloxacin  
20 and from data published by Drusano, et al. These are  
21 the data that you frequently see quoted. They are  
22 data in normal, healthy male volunteers.

23 And what one will see is an average Cmax  
24 of about 6.4 micrograms per milliliter, an AUC of  
25 around 54 to 55, and a half-life of about seven hours.



1           It's important to look at these data  
2 because when you see the data that are used to predict  
3 pharmacodynamics from animal models, these are the  
4 data that are typically used to derive AUC to MIC  
5 ratios and Cmax to MIC ratios.

6           What we observe, however, in patients  
7 treated, in some 270-odd patients treated, is that the  
8 Cmaxes tend to be higher in ill people. The area under  
9 the curve tends to be larger as well, probably owing  
10 in some part to the fact that ill people are somewhat  
11 more debilitated, somewhat smaller in size, but also  
12 to the fact that creatinine clearances in these  
13 volunteers are up around 130 cc's a minute, and in  
14 these patients are less than that, 70, 90 and the  
15 like.

16           So we do see higher levels for Cmax in  
17 patients and higher area under the curve.

18           That's plasma data. What about lung  
19 tissue since the overwhelming majority of pneumococci,  
20 even for people who have bacteremic pneumococcal  
21 pneumonia, most of the organisms are in the lung?

22           Well, these are data from lung tissue from  
23 biopsy or lobectomy, and what the data show you, the  
24 red dots are lung tissue -- the yellow are the  
25 simultaneous plasma -- is that we tend to see

1 levofloxacin in about twofold higher levels in the  
2 lung than in the plasma, but these data are often  
3 criticized because the bacteria are not throughout the  
4 lung, and this is homogenized lung tissue.

5           What is the meaningful date? What about  
6 the data in the fluids that are bathing the broncho  
7 alveolar space? That's where most of the pneumococci  
8 are, and to some degree within the alveolar  
9 macrophage.

10           So to that end a study was conducted which  
11 shows the following data, and I think this is very  
12 interesting data to review. This shows you  
13 levofloxacin, and this trial took volunteers  
14 undergoing BAL, bronchoscopy, and a 500 milligram dose  
15 of ciprofloxacin was given twice a day, a 500  
16 milligram dose of levofloxacin once a day, and after  
17 three days of therapy, bronchoscopy was performed, and  
18 these are the data derived from them.

19           Four hours after the last dose of  
20 ciprofloxacin or levofloxacin you see that the Cmax  
21 for levofloxacin is still higher owing to the fact  
22 that it has greater bioavailability than levofloxacin.

23           Twelve hours later, however, the plasma  
24 levels of levofloxacin are still higher than those for  
25 ciprofloxacin at four hours.

1           The most important thing from this data  
2 is, however, I'm going to ask you to remember this  
3 number for ciprofloxacin, the average level at four  
4 hours being a little bit above two micrograms.

5           When we look at the endothelial lining  
6 fluid levels, we see that, in fact, for ciprofloxacin  
7 those levels are lower than the plasma levels at the  
8 same time, but for levofloxacin they're about twofold  
9 higher than the plasma levels, showing a differential  
10 distribution into the pulmonary tree.

11           Now, are the exact numbers of micrograms  
12 important? This is an n of four patients in each of  
13 these groups of 12 patients in each one. What's most  
14 important is the relative relationship from plasma to  
15 lung and from drug to drug.

16           We still see that levofloxacin has  
17 appreciably higher levels 12 hours after in this  
18 lining fluid than ciprofloxacin even just four hours  
19 after.

20           And in the alveolar macrophage, important  
21 because it's the obligation of macrophages to  
22 phagocytize pneumococci, but they are want to have  
23 large capsules and don't cooperate in their own death.  
24 So we want to know about the ability for these drugs  
25 to get into the alveolar macrophage, and quinalones do

1 tend to get into intracellular tissues quite well, but  
2 once again, we see this disparity for levofloxacin  
3 having significantly higher levels.

4 Now, these data you've heard already from  
5 Dr. Bush some of the information from the Lacy data.  
6 I want to show you a little bit more and describe  
7 other things that they found. Ciprofloxacin,  
8 levofloxacin, ampicillin, the four isolates. This  
9 shows you the peak to MIC ratio, the AUC to MIC ratio,  
10 and the number of organisms that were still viable in  
11 their hollow fiber -- using their hollow fiber  
12 technique at 24 hours and 48 hours.

13 We see for ciprofloxacin there was some  
14 growth for one of the isolates even at 24 hours and  
15 for three of the four at 48 hours, and we did see  
16 resistance develop in all four of these.

17 For ampicillin, an excellent drug for  
18 pneumococci, we see that at 24 hours there was a  
19 significant drop of three logs or more, and at 48  
20 hours no growth. The detection ability here was 100  
21 CFUs per mL.

22 For levofloxacin we see similar reductions  
23 compared to ampicillin, and we see that for one of the  
24 isolates there was still continued reduction at 48  
25 hours with a resulting MIC being identical to the

1 progenitor isolate, and in three of those, sterility,  
2 the detection here being a little bit better than for  
3 ampicillin, detection down to ten CFUs per mL.

4 And what we look at and see here is that  
5 the AUCs to MIC ratio associated with this appears to  
6 be somewhere between 14 and 29, as would have been  
7 predicated by the Craig data, and the Cmax to MIC  
8 ratio somewhere between 2.9 and 1.4. Let's call it  
9 three for the sake of argument.

10 We know that for Gram negative bacteria  
11 the AUC to MIC ratio that's important appears to be at  
12 around 120. For Gram positives, such pneumococcus,  
13 that answer appears to be somewhere between 20 and 30.

14 We also know that as you approach four  
15 Gram negatives, 120 as a ratio for AUC to MIC, and for  
16 the Gram positives around 20, that the efficacy rate  
17 is exceedingly steep as you approach that number.

18 Furthermore, when you reach that number  
19 the continued efficacy begins to flatten out such that  
20 the difference for Gram positives in AUC to MIC ratio  
21 of ten to 30 is greater than the efficacy between 30  
22 and 50.

23 Recalling then in our patients that an  
24 **AUC**, a plasma AUC of 72.5 was the mean, for organisms  
25 with an MIC of two, that is, the break point for

1 levofloxacin, we have an AUC to MIC ratio above 36,  
2 well above the 30s, certainly well above the 20.

3 We also recall that in the surveys, the  
4 large surveys, 90 percent of pneumococci have an MIC  
5 to levofloxacin of one. In our clinical trials, which  
6 I will show you in a second, at an MIC of one 85  
7 percent of our pneumococci were at that MIC or lower.

8 And so if we look for 85 percent of the  
9 pneumococci, the ratio of AUC to MIC would be 72.5.  
10 The ratios of Cmax to MIC will also be, using an MIC  
11 of two, be approximately 4.4.

12 We can conclude then on the  
13 pharmacokinetics of levofloxacin that it achieves very  
14 high plasma levels and that it achieves even higher  
15 intracellular and ELF levels.

16 Also the plasma AUC to MIC ratio for  
17 levofloxacin is in the optimal range, exceeding 30 for  
18 all pneumococci with an MIC or two or lower to  
19 levofloxacin, and that the Cmax to MIC ratio easily  
20 exceed those predicted to retard resistance.

21 I'd like to now go through some of the  
22 clinical efficacy data. These are the studies that  
23 were conducted and form the basis of the data I will  
24 be presented for you today.

25 There were three studies conducted in the

1 United States for the original new drug application.  
2 One of those was a randomized comparative trial, and  
3 two were open, noncompetitive trials.

4 In addition, there was a single, double  
5 blinded comparative trial conducted in Europe.

6 At this time, these were all conducted, as  
7 you will see, up to between the years of 1992 and '96.

8 Additional study was conducted, which was  
9 a large, prospective, noncomparative study to garner  
10 more data with respect to penicillin resistant  
11 pneumococci, and other studies in severe community,  
12 moderately severe to severe community acquired  
13 pneumonia were also conducted, two of which are  
14 randomized, comparative studies and one noncomparative  
15 study.

16 Before we get into the data, I think it's  
17 important to review some data by Campbell. Campbell  
18 looked at the risk factors for having a penicillin  
19 resistant pneumococci, and these are what Campbell  
20 reported, and these are well known to us.

21 Most of these were not restricted from the  
22 protocols that I've just shown you. However, there  
23 are some caveats I would like to apply for these four.

24 Subjects who had received recent  
25 antibacterial therapy were excluded from the clinical

1 trials unless they had received at least 72 hours of  
2 therapy and were clearly failing previous therapy.

3 Subjects who had HIV disease were not  
4 excluded unless they had CD-4 counts below 200. All  
5 children were excluded from our trials, and because we  
6 were studying community acquired pneumonia we excluded  
7 people who were hospitalized or recently discharged  
8 from the hospital.

9 And so there are some people who were at  
10 risk to penicillin resistant pneumococci that we did  
11 exclude from our trials for various reasons.

12 Here are the trials again, and they show  
13 you the number of subjects enrolled, and we enrolled  
14 over 3,900 patients in community acquired pneumonia.  
15 It shows you how many receive levofloxacin, about  
16 3,000 of them; how many had a pneumococcus; how many  
17 of those were intermediate susceptible pneumococci;  
18 and how many of them were fully resistant.

19 We had hoped to obtain more. We didn't.  
20 It wasn't through lack of effort, I think you can  
21 tell.

22 What were some of the reasons that that  
23 may have occurred? Well, I've already gone through  
24 the fact that some patients who had risk factors for  
25 pen. resistant pneumococci were excluded from trials,



1 and certainly largest among those are the pediatric  
2 population where we see significantly more fully  
3 resistant pneumococci than in adults.

4 And the fact is that while 40 percent of  
5 our data was derived in the early to mid-'60s, only  
6 about 28 percent of our fully resistant pneumococci  
7 and 17 percent of our intermediate occurred during  
8 that time. So as we had gone out later into the '90s,  
9 we were accruing a higher percentage of fully  
10 resistant and intermediate pneumococci.

11 A little bit about our subjects. These  
12 are the demographics. As you can see, 41 percent were  
13 women. Thirty-four percent were over the age of 65,  
14 were 65 or older. The mean age, however, was 55, and  
15 the range in ages was 18 to 91.

16 Thirty-nine percent of the subjects  
17 enrolled were classified as having severe pneumonia as  
18 judged by the following criteria. If they had  
19 bacteremia, diastolic pressure of less than 60, using  
20 pressors, altered mentation, intubated and ventilated,  
21 or had a baseline respiratory rate of greater than 28,  
22 any one of those criteria would have been judged to  
23 have been a severe cause of pneumonia.

24 Now, in the clinical trials what was the  
25 susceptibility of the pneumococci that we encountered

1 and how does that compare to what we've seen in the  
2 very large surveys?

3 Here are the data. This shows the  
4 cumulative susceptibility to levofloxacin by MIC for  
5 susceptible pneumococci to penicillin, penicillin  
6 intermediate, and the resistant pneumococci. There  
7 are 22 here because they also include organisms that  
8 were treated with a comparator.

9 And we can see that 85.7 percent of these  
10 organisms had an MIC of one or less and 99.7 percent  
11 two or less, almost superimposable on the survey data.

12 Furthermore, we can see that there is no  
13 difference in what the MIC is going to be to  
14 levofloxacin based on the penicillin susceptibility.  
15 Independent variables.

16 That's not true though in our trials for  
17 other drugs. This shows the percent that were  
18 susceptible to other drugs from among the isolates  
19 that were tested, and you can see that 50 percent of  
20 these fully resistant pneumococci were susceptible to  
21 erythromycin, 50 percent to azithromycin, 39 percent  
22 to clarithromycin, 50 percent to sulfa trimetheprim,  
23 and 28 percent to ceftriaxone, all of them being  
24 susceptible to levofloxacin.

25 We can, therefore, statethatpneumococcal

1 susceptibility to levofloxacin has been consistently  
2 high over the 15 years of quinalone use in this  
3 country. There is no mechanistic cross-linkage in  
4 resistance between quinalones and penicillins.  
5 Currently greater than 99 percent of pneumococci  
6 within the United States are susceptible to  
7 levofloxacin, and our clinical data and the survey  
8 data both support the contention that organisms that  
9 are penicillin resistant are just as likely to be  
10 levofloxacin susceptible as organisms that are fully  
11 susceptible to penicillin.

12 Now, all of the data that I'm going to be  
13 presenting to you here on efficacy is based on  
14 subjects who received a single 500 milligram dose of  
15 levofloxacin once a day. They either received it as  
16 an IV dose and then were converted to oral or received  
17 oral dose entirely.

18 Those who received IV levofloxacin  
19 typically received one to three days of levofloxacin  
20 therapy before conversion to oral levofloxacin.

21 These data show the efficacy outcomes,  
22 both clinical and microbiologic efficacy based on  
23 susceptibility to penicillin. As you can see, there  
24 are 160 fully susceptible pneumococci to penicillin,  
25 and 155 of those were successfully treated with 155 of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 the cases having microbiologic eradication, for a  
2 success rate of 96.9 percent both clinically and  
3 microbiologically. Among the intermediate there were  
4 **44**, all of them successfully treated both clinically  
5 and microbiologically.

6 And among the **14** of **18** cases that were  
7 evaluable among the fully resistant pneumococci, all  
8 **14** were successfully treated.

9 Now, regrettably 34 cases had their  
10 pneumococci that were not tested to penicillin, but  
11 among those 34 all of them were successfully treated  
12 once again.

13 Our totalexperience then for levofloxacin  
14 is with 252 cases of pneumococcal pneumonia and 247 of  
15 them were successfully treated.

16 Now, I would like to look at the database  
17 on severity as well. So what I've done for you here  
18 is broken down the data not only by penicillin  
19 susceptibility, but by severity of illness as well,  
20 and as you can see, for the fully susceptible,  
21 penicillin susceptible pneumococci, the efficacy  
22 whether in mild to moderate disease or severe disease  
23 is basically superimposable, and when we get into the  
24 intermediate resistant and those without  
25 susceptibility to penicillin, we see that efficacy is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uniform regardless of severity of illness.

2 The other thing I would like to see is  
3 what about the bacteremic cases. There were 55.  
4 Among the 55 that had bacteremic pneumococcal  
5 pneumonia, 29 of them had a fully susceptible  
6 pneumococcus, all 29 successfully treated; six  
7 intermediate; six fully resistant; and 14 with unknown  
8 susceptibility.

9 All 55 cases of bacteremic pneumococcal  
10 pneumonia were successfully treated. All 55 of them  
11 had their organisms proven to be sterilized based on  
12 repeat blood cultures at the test of cure post therapy  
13 visit.

14 If we were to review then the data for  
15 severely ill patients and bacteremic patients, we see  
16 99 patients had severe disease regardless of  
17 susceptibility, 96 of them successfully treated for a  
18 97 percent success rate, and among the subcomponent of  
19 these that had bacteremia, all of them successfully  
20 treated.

21 Let's look at the bacteremia cases in a  
22 little bit more detail. This shows you the age, the  
23 gender, the MIC to penicillin, the MIC to  
24 levofloxacin, and their outcomes. Only one had an MIC  
25 above two to penicillin. Several had MICs of two, and

1 we can see that they were all successfully treated,  
2 and again, their susceptibility to levofloxacin is  
3 entirely independent of their susceptibility to  
4 penicillin.

5 If we look at just the cases with fully  
6 resistant pneumococci, whether they were bacteremic or  
7 not, in a little bit more detail, again, we see the  
8 wide range of ages, pretty similar distribution in  
9 gender. These are the sites of infection, the MICs of  
10 the organism. Again, susceptibility is independent of  
11 the penicillin susceptibility, and all 14 of these  
12 successfully treated.

13 One of the questions I would want to know  
14 is you had five failures among the total population of  
15 pneumococcal pneumonia treated by levofloxacin where  
16 the failures were all at the breakpoint of two. Now,  
17 these are the data by outcome by MIC to levofloxacin,  
18 and you can see there were 30 cases with an MIC of  
19 two. All 30 of these were successfully treated. In  
20 fact, we had one failure at .25, one failure at .5,  
21 and we had three failures at one. So they weren't at  
22 two, and in fact, this is predicted by the animal  
23 data, pharmacodynamics.

24 An MIC of two gives you that optimal  
25 range. A Cmax to MIC of 4.4 and AUC to MIC of around

1 37, and because at that point it really flattens out,  
2 the probability of seeing a difference in outcome for  
3 organisms with an MIC of two or .5 becomes vanishingly  
4 less common to the point where it would take a huge  
5 study to see any difference between those.

6 So these data are predicted, and we are  
7 very confident that this represents what we would see  
8 if there were 100 cases that would be comparable in  
9 efficacy to these other MICs.

10 The clinical summary then would be that 98  
11 percent of patients with pneumococcal pneumonia were  
12 successfully treated; that susceptibility in our  
13 clinical trials to levofloxacin is independent of  
14 penicillin susceptibility.

15 Response to levofloxacin therapy is  
16 independent of penicillin susceptibility. It's  
17 independent of severity, with 82 of 85 severely ill  
18 patients being successfully treated, and that in  
19 total, 247 of 255 cases were successfully treated with  
20 500 milligrams once a day of levofloxacin.

21 All 14 of our fully resistant pneumococci  
22 were successfully treated, which you'd predict because  
23 there's no bearing on the -- the penicillin  
24 susceptibility should have no bearing on levofloxacin  
25 efficacy, and in total, of that most important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 population, the bacteremic, 55 of 55 bacteremic  
2 pneumococcal pneumonia cases were successfully  
3 treated.

4 Now, the first rule in medicine is "primam  
5 non nichere," and what I'd like to show you now is the  
6 safety data because the safety data is part and parcel  
7 with how a doctor chooses a drug. It doesn't benefit  
8 you much that one of the first things I learned in  
9 reading X-rays **as** a young house officer seeing these  
10 stippled pelvises of men and I learned that sometimes  
11 if you spend an evening with Venus, you spend a  
12 lifetime with Mercury, and we want to know what  
13 benefit we're doing with patients when we give them  
14 therapy.

15 So now I'll show you the safety data for  
16 levofloxacin. What I would want to know first is what  
17 are the adverse events I'd likely see with  
18 levofloxacin. To do that, what I'm now showing you  
19 are all adverse events considered by our investigators  
20 to be drug related that occur with a frequency of one  
21 half of a percent or higher, and there are a total of  
22 four.

23 This is any adverse event. These are the  
24 comparators, and I will share with you that the  
25 comparators are primarily beta lactems with a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealgross.com



1 smattering of macrolide and to a much lesser degree  
2 quinalones. The majority of these are beta lactams,  
3 primarily cephalosporins, but also penicillins.

4 This data for levofloxacin are the data  
5 from these comparative trials. So it gives you a  
6 head-to-head comparison, and then I show you here the  
7 expanded database for levofloxacin, adding in the  
8 additional cases that were in noncomparative trials so  
9 that you see the full database.

10 There was somewhat more patients in the  
11 comparator that had any adverse event that was  
12 considered drug related, but when we get into the four  
13 common ones that occur with the frequency of a half a  
14 percent or more for levofloxacin, and I chose it if it  
15 were either a half a percent here or here, we see that  
16 they're pretty similar. There's a little bit more  
17 diarrhea in the comparator, a little bit more  
18 vaginitis in the comparator, but by and large they  
19 look pretty much alike.

20 That's for drug related, but sometimes we  
21 really need to look at regardless of drug relationship  
22 because one can never be sure what's truly drug  
23 related or not, and what I've chosen for you are  
24 adverse events that people really talk about when they  
25 talk about quinalones.

1           The first are seizure activity, and you  
2 can see that we had three in the comparative group for  
3 levofloxacin. They are by and large across the board  
4 similar between, once again, levofloxacin and the  
5 control.

6           I do want to point out two things though.  
7 I want to point out this one case on levofloxacin  
8 which occurred in the comparator, in the comparative  
9 trials and QT prolongation. For this patient with  
10 hepatic coma, this patient had an antecedent history  
11 of hepatic coma prior to coming into the trials; had  
12 endstage liver disease at entry into the trial; and  
13 had a GI bleed during the trial. The investigator  
14 thought the GI bleed was the cause of the hepatic coma  
15 and considered this to be unrelated to levofloxacin,  
16 but we did have one case.

17           For QT prolongation, we had none, but I'll  
18 tell you about what data we do have in evaluating  
19 patients. There were 150 patients who had either  
20 Holter monitor or an EKG done prospectively as part of  
21 the clinical trial. Eighteen of those had Holter  
22 monitors.

23           Of those people who had EKGs, EKGs were  
24 done at baseline and then between zero and two hours  
25 after the dose. So it would be at around the peak of

1 the levofloxacin.

2 There were, in those 150 patients, no  
3 episodes of QT prolongation.

4 Next.

5 Now, there are, as you've heard, 100  
6 million prescriptions that have been written for  
7 levofloxacin worldwide, and ten million of those in  
8 the United States. Just globally I can tell you that  
9 the post marketing safety profile of levofloxacin  
10 looks to be consistent with what we've seen in  
11 clinical trials, but I'll show you some data on  
12 reporting rates.

13 What I've chosen here is to just give you  
14 American, U.S. reporting rates, and that's because  
15 reports, post marketing reports occur much more  
16 frequently in the United States. If we were to use  
17 the other, it would be 30-fold lower than this. So  
18 I'm going to concentrate just on American rates.

19 As you can see, the rates are uncommon.  
20 They are less than one in a million for most of these,  
21 and I've chosen the adverse events or the reports that  
22 I thought would be of most interest to you.

23 As is always the case with post marketing  
24 reporting, we don't know anything about drug  
25 relationship. They're all reported regardless of what

1 drug relationship might be.

2 We can then summarize the safety data as  
3 follows. Levofloxacin's safety profile appears to be  
4 similar to the comparators which were primarily beta  
5 lactams and to a lesser degree macrolides, and at the  
6 post marketing safety profile after about 100 million  
7 prescriptions closely mirrors what we've seen in our  
8 clinical trials.

9 Finally, I will conclude with my global  
10 observations. Levofloxacin is highly and  
11 differentially distributed to important pulmonary  
12 tissues. The safety profile of levofloxacin is well  
13 known, and it's similar to beta lactams and  
14 macrolides, and when given as a 500 milligram dose  
15 once a day, it's effective in treating pneumococcal  
16 disease regardless of its penicillin susceptibility  
17 and regardless as to whether or not it was involving  
18 severe pneumonia or bacteremic pneumonia.

19 At this time I would like to turn the  
20 podium over to Dr. Medeiros, who will give us a  
21 clinician's perspective.

22 DR. MEDEIROS: Thank you, Mike.

23 I'll be mercifully short. I'm a  
24 practicing infectious disease clinician at a teaching  
25 hospital in Providence, Rhode Island, and I only have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 two slides.

2 (Laughter.)

3 DR. MEDEIROS: The point I want to make is  
4 to kind of emphasize what the clinician is facing now,  
5 and I do consider it somewhat of a dilemma,  
6 particularly when faced with severely ill, seriously  
7 ill patients who come in with pneumonia. Very often  
8 they're elderly, and they can't cough very well, and  
9 we can't get enough sputum. So we end up with no  
10 definitive pathogen isolated.

11 The clinical microbiology laboratory  
12 reports that about 27 percent of our pneumococcal  
13 isolates are resistant to penicillin, and as we saw  
14 from Dr. Whitney's data, the proportion of these that  
15 have MICs over one in the fully resistant category has  
16 been increasing every year.

17 As we saw from some of the earlier data,  
18 they're often resistant to macrolides and trimethoprim  
19 sulfa, and the tetracyclines we don't usually consider  
20 in hospitalized patients.

21 We worry about chlamydia, mycoplasma,  
22 legionella, and there's no truly quick way to exclude  
23 that, certainly not immediately.

24 So our treatment options are limited. So  
25 what does the clinician do? And that's my next slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Well, a common default is to use third  
2 generation cephalosporins and a macrolide. If the  
3 patient's allergic to penicillin, then vancomycin gets  
4 used.

5 When I put on my hospital epidemiologist's  
6 hat, I worry about the ecological impact of these  
7 options. Extensive use of third generation  
8 cephalosporins has been documented to enhance  
9 selection of extended spectrum beta lactemases in many  
10 hospitals. We have a significant problem as do most  
11 hospitals in the country with vancomycin resistant  
12 enterococci.

13 As someone said, this issue of resistance  
14 is like a balloon. You squeeze it in one place and it  
15 comes out the other, and I'm not sure we know fully  
16 how to balance the use of these different antibiotics  
17 to minimize that overall.

18 So this is a consequence, and my basic and  
19 last point is that we have a need both clinically and  
20 epidemiologically at this point in time, now, for  
21 alternative antibiotics.

22 And with that I'll turn the podium over to  
23 Graham Burton.

24 DR. BURTON: Mr. Chairman, ladies and  
25 gentlemen, you've seen a lot of data, and I think

1 there are some points I'd just like to reiterate from  
2 our presentation this afternoon relating to this whole  
3 subject.

4 The first point here I'm not going to say  
5 again. It's, I think, an accepted observation.

6 But I would like to reaffirm that the  
7 pneumococcal clinical isolates that we have identified  
8 are susceptible to levofloxacin, and this applies to  
9 whether or not those isolates come from North America,  
10 Europe, Japan, and that levofloxacin, as we've shown  
11 you today, and we hope we've convinced you, is equally  
12 active in vitro against penicillin resistant and  
13 susceptible pneumococci.

14 It is highly and differentially  
15 distributed to important pulmonary tissue and fluids,  
16 and it is highly effective in treating penicillin  
17 intermediate and resistant pneumococci, including  
18 those patients with severe pneumonia and those  
19 patients with bacteremic pneumonia.

20 The safety profile of this antimicrobial  
21 is well known and similar to beta lactams or  
22 macrolides, as we've demonstrated from our clinical  
23 trials, and our post marketing experience suggests  
24 that there are no untoward events occurring in use of  
25 this product.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           May I just finally remind you what our  
2 supplemental new drug application is here for. We'd  
3 like to add the words to the pneumococcal pneumonia  
4 treatment indication to include penicillin resistant  
5 and intermediate strains.

6           Thank you very much.

7           DR. RELLER: Thank you, Dr. Burton, and  
8 also to your colleagues for a clear, succinct, but  
9 comprehensive presentations.

10           We'd now like to have the entire  
11 presentation open for full discussion of the issues,  
12 and, Dr. Burton, you could help by directing the  
13 queries to the appropriate person on your team.

14           Questions? Celia.

15           DR. CHRISTIE-SAMUELS: I'm concerned,  
16 again, that all children were excluded from all of  
17 these studies, recognizing that the CDC data just told  
18 us that children represent one of the highest risk  
19 groups for drug resistant strep. pneumo. disease and  
20 colonization.

21           This is also on the background of two  
22 recent reports from the Cincinnati Children's Hospital  
23 which described a recent increase in the incidence of  
24 aggressive necrotizing pneumococcal pneumonias with  
25 lung abscesses and pleural empyemas in previously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1 healthy children from the community. These illnesses  
2 were associated with drug resistant and drug  
3 susceptible strains, and in the drug resistant group,  
4 these children tended to be bacteremic and tended to  
5 be younger at the time of presentation.

6 The disease also carried a high morbidity  
7 and a lot of concern. There were also anecdotal cases  
8 from all over the country, from other hospitals as  
9 well.

10 So the questions I have for the group this  
11 evening would be:

12 One, did you see this kind of presentation  
13 in your adults with this disease? In other words, did  
14 you see necrotizing pneumonias with abscesses and  
15 pleural empyemas in your adults?

16 How was severity of illness defined?

17 And also, in the studies by Kahn and by  
18 Bruggemann, did they evaluate the concentration or  
19 penetration of levofloxacin in the pleural fluid, in  
20 addition to lung tissue and alveolar macrophages?

21 Thank you.

22 DR. CORRADO: I'll try to -- remind me the  
23 questions if I don't remember them all.

24 The last one I'll take first. None of  
25 those subjects I'm aware of had pleural fluid. So

1 there were no pleural effusions to tap and to measure  
2 the levels.

3 The question about the presentation in our  
4 patients, did any of them have necrotizing pneumonia  
5 or lung abscess? One patient did have an empyema and  
6 a pericarditis, but there were no other cases which  
7 were similar to that which you've described in  
8 children.

9 And I apologize. I don't remember the  
10 third question.

11 DR. CHRISTIE-SAMUELS: How did you  
12 evaluate severity of illness?

13 DR. CORRADO: Severity? Severity was  
14 determined or defined, a case was defined as severe if  
15 the patient had altered mentation, if the patient had  
16 a diastolic pressure below 60, if they were intubated  
17 and ventilated, if they had bacteremia, or if they had  
18 a baseline resting respiratory rate of greater than 28  
19 breaths per minute.

20 In the most recent studies we've used the  
21 FINE score, and FINE scores of 70 to 91 are considered  
22 moderate and above 91 would be Class IV, as moderately  
23 severe, and then above 120, I believe, being severe.

24 DR. RELLER: Dr. Burton, you categorized,  
25 and this came across at multiple times, the efficacy

1 according to susceptibility to levofloxacin and what  
2 the corresponding categorization was having to do with  
3 beta lactem susceptibility.

4 From the subset of patients who were in  
5 comparative trials that received a beta lactem alone,  
6 do you have from those data the side benefit of what  
7 the efficacy was by MIC for, for example, ceftriaxone  
8 or penicillin?

9 DR. BURTON: Dr. Corrado.

10 DR. CORRADO: Thank you, Dr. Reller.

11 We do have that. I hope to be able to  
12 find that. I can speak to it while I'm looking for  
13 it.

14 There were five cases that were treated  
15 with the comparator, all of them successfully, but  
16 none of them received a single drug. They received a  
17 macrolide plus ceftriaxone, and as I recall, Slide  
18 151. Found it.

19 Four cases. I beg your pardon. This was  
20 the source. This was the MIC to levofloxacin, the MIC  
21 to penicillin. This one had an MIC -- received  
22 azithromycin, had an MIC of greater than eight, but  
23 also received ceftriaxone, the MIC to which was two.  
24 This patient received chlorythromycin with  
25 ceftriaxone. You see the MICs to both. This was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 erythromycin, MIC of four; ceftriaxone of one;  
2 erythromycin, .03, MIC of one.

3 Here is the co-morbidity in those  
4 subjects. So none of them received monotherapy with  
5 the beta lactem, and all of them received at least one  
6 drug to which the organism was susceptible.

7 DR. SOPER: Mike, what were the total  
8 number of penicillin resistant Strep. pneumo. that you  
9 have experience with with levofloxacin.

10 DR. CORRADO: Eighteen.

11 DR. RELLER: Dr. O'Fallon.

12 DR. O'FALLON: Well, I wasn't exactly  
13 overwhelmed by seeing 14 cases, and if this is such a  
14 -- I mean 14 doesn't tell us a whole lot. If this is  
15 such a big problem why was it so hard to get 14 and  
16 why couldn't we see a whole lot more?

17 DR. CORRADO: I'd like to comment on the  
18 fact that 14 is not a whole lot of data. If the  
19 susceptibility to levofloxacin is independent of the  
20 penicillin susceptibility, one may legitimately look  
21 at the entire database en masse for pneumococci, and  
22 you have a database of 252 and 55 bacteremic cases,  
23 and that is a robust database to say this drug works  
24 for pneumococcus.

25 And furthermore, if the MIC is two, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't care what the MIC is to penicillin. If it's a  
2 MIC of two to levofloxacin, based on the animal  
3 dynamic data, the human dynamic data, and the clinical  
4 data, that supports the fact that it would work.

5 With respect to the second question, why  
6 didn't we find more, it will take a smarter person  
7 than I to explain that to you. It was not from dint  
8 of effort. There were almost 4,000 people enrolled.

9 There many reasons that people have  
10 speculated, and speculation is one thing. I can tell  
11 you we did restrict. We did not treat children. They  
12 do have a higher rate. We did restrict other people  
13 for various reasons that we do. We don't put people  
14 who are hospitalized in trials of community acquired  
15 pneumonia.

16 And because we do these for the purposes  
17 of submission, we don't enroll people who have had  
18 other drugs that may be responding because then you  
19 can't evaluate the drug. So that's part of it.

20 Part of it is certainly the fact that we  
21 have 40 percent of our database from 1996 or earlier  
22 when the rates were maybe five percent or lower. I  
23 can't explain more than that. I just don't know.

24 But I can tell you this. They're there,  
25 and they are pathogenic. They do cause bacteremic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 disease. Particularly in some patients they do cause  
2 significant morbidity and mortality.

3 DR. MURRAY: I just wanted to talk a  
4 little bit about that. I'm not sure if you were at  
5 our meeting when we discussed this last year or not,  
6 but the question was raised at the time whether in  
7 some ways did this question have to be asked in this  
8 way. If the drug is approved for pneumococci, there's  
9 no exclusion for penicillin resistant organisms, and  
10 we don't ask does levofloxacin or any other drug work  
11 in the presence of a trimethoprim sulfa resistant  
12 pneumococcus. Does it work in the presence of -- I  
13 mean, because when there is an erythromycin resistant  
14 pneumococcus.

15 So those discussions, we waxed and waned  
16 about that as well, and my recollection is that there  
17 was a sentiment that if -- and so the question also  
18 came up what would we want to see in terms of data  
19 efficacy to approve a drug for a resistant organism.

20 Given the caveat that the question has  
21 been asked, not did we really think that an indication  
22 needed -- if there had to be one written because if it  
23 works for pneumococcus it should work for other  
24 pneumococci, but some of the things that were  
25 mentioned was if it works in non-penicillin resistant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1 disease, if there is animal data to support the fact  
2 that there is no difference using new Drug X against  
3 penicillin resistant or penicillin susceptible strains  
4 of that species, and if there are a handful -- but we  
5 didn't define what a handful was -- of true resistant,  
6 severe disease treated with the new drug.

7 So in some ways they've -- I mean they  
8 have met those. I still think some fundamental  
9 questions exist in the sense of how necessary is it to  
10 have a separate indication. It's like you need a law  
11 to cover the people that are already included in the  
12 umbrella.

13 DR. CORRADO: We have someone with us if  
14 the chair would be interested who may want to comment  
15 on that, Dr. Charles Fogarty from South Carolina.

16 DR. FOGARTY: Thank you.

17 As to why it's so hard to document the  
18 penicillin resistant Strep. that we're so worried  
19 about, on clinical trials, and generally you're  
20 excluding someone who has had recent antibiotic  
21 therapy, and if you skip to your own personal  
22 practice, we frequently run into patients who had  
23 recent antibiotic therapy. They clearly are getting  
24 worse. They're bringing up any sputum, and you're not  
25 going to prove that the cause is penicillin resistant

Strep. pneumo. unless you have a pathogen.

Now, in a couple of the cases that I was involved with because they were on the study, because it was convenient, because they were in the emergency room where they had a bronchoscope around the corner, we used the bronchoscope to snake them, and indeed proved what we suspected.

But for the average practitioner, it's hard to prove that, and for the average clinical trial, you're excluding a lot of these patients up front.

DR. RELLER: Dr. Norden.

DR. NORDEN: I have two separate questions. One is for Dr. Bush.

Karen, do you think that based on what you've told us about the biology of the pneumococcus that if levofloxacin is used heavily, that quinolone resistance will emerge very slowly or moderately, rapidly, you know, compared to, say, how penicillin took forever?

And then I'll ask the second one after that.

DR. BUSH: This is something that we have obviously thought about. This is an item that we obviously have discussed extensively. I think our

24



1 best answer is based on the experience in Japan where  
2 the quinalones have been used for over a decade. We  
3 still see very high susceptibility to levofloxacin.

4 We've seen from three different in vitro  
5 studies that levofloxacin selects for resistance less  
6 frequently than ciprofloxacin, and ciprofloxacin  
7 certainly has been around much longer in clinical  
8 practice than levofloxacin.

9 Our hope would be that we would not select  
10 for resistance quickly. As a realist we know that  
11 there will be some increased resistance if we use a  
12 drug at all. So I think the data would suggest that  
13 we would see a slow development of resistance with  
14 levofloxacin.

15 DR. NORDEN: Thank you.

16 The other question, I guess, is really for  
17 Tony Medeiros.

18 I think we all face the dilemma of  
19 community acquired pneumonia and what drugs to use,  
20 but if you look at the data that has been presented  
21 and presented very clearly and nicely, I mean, there  
22 are very few cases of penicillin resistant  
23 pneumococcus, and even assume -- truly penicillin  
24 resistant, not intermediate -- and even assuming that  
25 the true rate is higher because you had so many

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exclusions and so on, it's still a lower incidence.

2 And when do you start discarding beta  
3 lactem therapy and going to something like quinalones  
4 wholesale?

5 **DR. MEDEIROS:** Well, that touches on the  
6 question that was asked before. I mean, what is the  
7 threshold above which the physician anxiety level  
8 demands that you use something more aggressive?

9 I don't know exactly how to answer that.  
10 I can tell you that in my community it's there. You  
11 know, it takes a few cases.

12 About five years ago we had a patient sent  
13 up from Newport who had a meningitis from a  
14 pneumococcal. We'd never seen that in the community.  
15 All of the evidence indicated that it was a rare bird  
16 in our community, but he got it somewhere and probably  
17 from one of his kids that went to a day care center  
18 and nearly died.

19 So the accumulation of a few of those,  
20 plus. the laboratory telling us that they now have  
21 somewhere around 25 percent overall, in recognition of  
22 the fact that in the last few years -- and it's in the  
23 last three or four years -- we've been seeing an  
24 increase in the percentage of those with MICs over  
25 one. It's there. The anxiety level is there.

1           So do we want to use a lot of ceftriaxone  
2           and macrolides, or do we want to cut down on that and  
3           try to even out the balloon with a little quinalone?

4           I mean, not easy questions to answer, but  
5           there is clearly, I think, a clinical need for an  
6           alternative to what we have. We don't trust the  
7           macrolides. We've published one case of failure in a  
8           bacteremic patient treated with a macrolide, and out-  
9           patient who came in after treatment with a macrolide  
10          and has a bacteremia, and we're now looking at other  
11          **cases.** We have 11 accumulated so far.

12          So what do you do? And that's the  
13          physician's dilemma.

14          DR. NORDEN: I think your answer is a good  
15          one, and I don't mean to minimize the anxiety. I  
16          think we all have it. Just the trouble is now, and I  
17          guess I'm stating the obvious, but it's all, again,  
18          sort of post hoc reasoning.

19          If we knew the organism was penicillin  
20          resistant, it would be easy, but the trouble is we  
21          don't know that for --

22          DR. MEDEIROS: Right.

23          DR. NORDEN: -- 72 to 96 hours. So one of  
24          the obvious things --

25          DR. MEDEIROS: Right, and then in less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 than half of the patients.

2 DR. NORDEN: Right. We still need an  
3 obviously more rapid diagnostic and sensitivity type  
4 of studies that would help us with this.

5 I just am nervous about -- because the  
6 obviously -- not the obvious. Sort of the extreme  
7 would be, well, we should give levofloxacin to every  
8 community acquired pneumonia, and I think that won't  
9 even out the balloon. It will just push it out the  
10 other side.

11 DR. MEDEIROS: I agree. I think there has  
12 to be some balance there.

13 DR. BURTON: Dr. Reller, is it possible  
14 for Dr. Fogarty to make a comment?

15 DR. RELLER: This is an open discussion,  
16 and in order, anyone who wants to comment or ask a  
17 question about what was presented will be addressed.

18 DR. BURTON: Thank you very much.

19 DR. MURRAY: While he's getting up, may I  
20 make a quick?

21 DR. RELLER: Please.

22 DR. MURRAY: Carl, back to your statement,  
23 I believe using a fluoroquinolone is already listed in  
24 IDSA guidelines for community acquired pneumonia. So  
25 it's already there. That already is a standard of

1 care.

2 DR. RELLER: Dr. Fogarty.

3 DR. FOGARTY: This is to follow up on Dr.  
4 Norden's question.

5 I don't think you can come up with a  
6 percentage. What I can tell you is what I do. Maybe  
7 ten percent of my practice is clinical trials. The  
8 rest of it is plain, old patient care.

9 In the clinical trials, the gold standard  
10 is a macrolide, and in most clinical trials there's no  
11 difference. So what I find in my community is that I  
12 use quinalones far more sparingly than the family  
13 practitioner in his office.

14 Whom do I use quinalones, specifically  
15 levofloxacin, in a pneumonia? I use it in the patient  
16 with significant lung disease or co-morbidity in whom  
17 if I am wrong and I lose a couple of days I'm going to  
18 be in serious trouble.

19 That is not everybody. That is a subset  
20 of patients, and I think we run into other issues like  
21 educating the physicians.

22 DR. RELLER: Dr. Battinelli.

23 DR. BATTINELLI: Well, when we began, I  
24 think Dr. Bell from the CDC asked about addressing  
25 this issue of intermediate sensitivity and the

1 development -- well, addressing the honest confusion  
2 in the average clinician's mind about, in fact, what  
3 does that really mean, and I think people have just  
4 talked about some of those issues.

5           And I wanted either. Dr. Medeiros or  
6 Eliopoulis or one of the other clinicians -- how you  
7 would propose addressing that specific issue because  
8 I could see the average clinician hearing about an  
9 increase in intermediate sensitivity even if there is  
10 not an absolute increase in definite resistance, and  
11 them slipping into using the quinalone either all of  
12 the time or, in fact, switching from a drug that still  
13 would be useful in an intermediate resistance case,  
14 and that may accelerate over whatever period of time  
15 it is the development of the resistance.

16           DR. ELIOPOULIS: Dr. Battinelli, your  
17 question is a very complex one which I can begin to  
18 answer by saying that I agree completely with all of  
19 the things that Dr. Bell said in that I think it's in  
20 an attempt to circumvent some of the confusion that I  
21 think that this additional labeling actually carries  
22 some appeal to me in terms of pointing out both to the  
23 generalist and to the subspecialist where the data  
24 set.

25           Now, we know that at levels of penicillin

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealgross.com

1 resistance that are intermediate we already start to  
2 see an increase in cross-resistance to several other  
3 unrelated classes of antibiotics, trimetheprim sulfa,  
4 macrolage, et cetera. So that there is something  
5 unique about those things.

6 But I would be the first to agree that if  
7 we had the data that a case had pneumococcal pneumonia  
8 and the MICs of that pneumococcus were favorable and  
9 that person did not have a closed space infection or  
10 meningitis or anything of that sort. Then high dose  
11 penicillin would, in fact, be a perfectly good drug,  
12 and that's the kind of thing that when our residents  
13 tell us, "We have a classic case with pneumococci in  
14 the sputum and a perfect story," and they started him  
15 on Penicillin G, we kind of give them the gold star  
16 for the day at residence report.

17 But unfortunately **that's the minority** of  
18 the cases because initially we know the patient has  
19 pneumonia. We're worried not only about pneumococcus  
20 and what the level of resistance is, but we're also  
21 worried about do they have Hemophilus influenza, do  
22 they have Moraxella catarrhalis, do they have  
23 legionella.

24 So at our place, a lot of people get put  
25 on ceftriaxone plus a fluoroquinolone. It's kind of

1 a style preference to macrolides, but lots of people  
2 empirically get the fluoroquinolone up front.

3 By the time you find out what the true  
4 susceptibilities are, the patients hopefully are ready  
5 for switch-over to oral therapy.

6 I think the reason that this sort of  
7 question actually helps sort out some of the confusion  
8 is that if you're a generalist, you've been hammered  
9 by our educational efforts for decades, being told,  
10 "Well, you know, this is methicillin Staph., and that  
11 means you can't use other beta lactams," and so forth.  
12 So we're being taught that in vitro susceptibility  
13 data do not always translate into clinical efficacy.

14 So the generalist can go to the labeling,  
15 whether it's in the PDR, the package insert, or  
16 anywhere else, and find out specifically that, yes,  
17 they might be able to use it for this indication, and  
18 therefore I can perhaps dispense with some of the  
19 other drugs that would be added to an empiric regimen.

20 And the specialist, the ID person looks at  
21 this kind of story as in vitro data kind of  
22 skeptically and says, "Well, you know, show me the  
23 evidence that it works because we know that there's  
24 something funny about penicillin resistant  
25 pneumococci. Maybe they wouldn't respond as well even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 if in vitro they seem to be susceptible to a  
2 fluoroquinolone."

3 And it was my point of view that if there  
4 are data to support the fact that the agent works in  
5 that category of patients, especially people with  
6 bacteremia or other severe disease, then it can only  
7 help to get those data in the light of day.

8 DR. BATTINELLI: Do you worry about the  
9 issue thought in terms of the confusion of slipping  
10 backwards and using -- in other words, getting a  
11 report back on somebody who's got an intermediate  
12 susceptibility to penicillin, they're on a macrolide.  
13 It is susceptible, and their interpretation is to  
14 switch to a fluoroquinolone.

15 DR. ELIOPOULIS: That's certainly a  
16 definite risk, and that's another area in which I  
17 fully agree with Dr. Bell's comments, and I think  
18 that's something that needs to be addressed by  
19 education as well.

20 I think at that point in time, again,  
21 you're going to be thinking about an oral drug. We're  
22 further constrained by something that hasn't come up  
23 yet in this discussion, and that is that when you go  
24 to choose an oral drug, what are you going to choose?

25 you cannot go to a list of available

1 agents and pick one that you think is appropriate  
2 because your health plan, like my health plan sent me  
3 a list, and they said, "Well, you're second generation  
4 cephalosporin of choice is" -- and I won't mention the  
5 drug, but it's not one that would normally come up in  
6 discussion at least in my ID group of, you know, the  
7 treatment of choice for step-down oral therapy.

8 so I think under those circumstances going  
9 to an oral fluoroquinolone might not be a bad idea,  
10 especially if the alternative is one of those drugs  
11 that I really personally wouldn't have very much  
12 confidence in.

13 DR. RELLER: Dr. Norden, you asked or  
14 stated that if we knew the susceptibility of the  
15 organism to penicillin for pneumococci, it would be  
16 easy.

17 DR. NORDEN: Easier.

18 (Laughter.)

19 DR. RELLER: Would it? Do we know -- and  
20 I'm trying to link all of these comments with Dr.  
21 Bell's introductory comments in the open public  
22 hearing -- do we know for community acquired pneumonia  
23 that level of resistance where a beta lactem  
24 antimicrobial would be precluded from empirical  
25 therapy, as is so clearly delineated for meningitis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 and the reason, at least to date, that the NCCLS has  
2 been so resistant or so conscientiously concerned with  
3 keeping the break point for susceptibility at .06,  
4 because know what that means?

5           Until we know, until we know that a  
6 pneumococcus is exceedingly susceptible to penicillin  
7 or to ceftriaxone or cefataxine, combination therapy  
8 must be used. Do we have similar objective data for  
9 the therapy and what should be done for pneumococcal  
10 pneumonia with a beta lactem?

11           DR. NORDEN: Well, Barth, I didn't think  
12 -- until Dr. Whitney gave her presentation, I'm not  
13 familiar with the Feikin study, but that's the kind of  
14 data that I think the pneumonia at least -- that would  
15 begin to answer the question that you're asking, and  
16 I think all outcome studies are difficult to  
17 interpret, you know, when they're not in sort of  
18 randomized controlled trials.

19           But I was impressed with the odds ratio at  
20 least for those isolates that were penicillin  
21 resistant. Now, what I didn't know and I don't think  
22 was answered and was able to be answered was what the  
23 therapy was and where you could show that those  
24 patients who have penicillin resistant isolate clearly  
25 fail on penicillin.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1                   The other question, and Bill is standing  
2                   at the microphone, would be based on animal data which  
3                   we may also have.

4                   DR. RELLER:       Before we hear from Dr.  
5                   Craig, the reason I pursued that is because Dr.  
6                   Whitney presented data, whether it's at four or eight  
7                   or somewhere around there, where the odds ratio really  
8                   shifts.

9                   The numbers that she presented was that  
10                  the proportion of strains that fall into that category  
11                  where one has an isolate is in the order of under five  
12                  percent, and it gets down to Dr. O'Fallon's  
13                  observation of why aren't there more patients who have  
14                  highly resistant organisms who would be the ones who  
15                  for reasons of resistance would need an alternative  
16                  drug like a fluoroquinolone as opposed to those who  
17                  might get the alternative drug because of not knowing  
18                  about the possibility of legionella or chlamydia or  
19                  some other reason.

20                  And I think it's an exceedingly important,  
21                  when we get to the questions, an exceedingly important  
22                  distinction to make to try to encompass all of the  
23                  concerns voiced by Dr. Bell, as well as the clinical  
24                  realities of empirical decision making and the  
25                  opportunities for education and what the package

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 insert ultimately says precisely.

2 Dr. Craig.

3 DR. CRAIG: I've been told I can't answer  
4 a question.

5 DR. RELER: We recognize that you cannot  
6 comment, though I always love to hear what you have to  
7 say, but we won't hear it today.

8 (Laughter.)

9 DR. RELER: Other questions or comments?

10 We'll have the opportunity to come back to  
11 these issues after the FDA presentation. It was  
12 originally scheduled that we would have our break at  
13 3:45, and then have the FDA presentation. I think it  
14 would make -- choreography would be much better if we  
15 took our 15 minute break and we start the FDA  
16 presentation at 3:45.

17 A 15 minute break.

18 (Whereupon, the foregoing matter went off  
19 the record at 3:28 p.m. and went back on  
20 the record at 3:48 p.m.)

21 DR. RELER: The three-quarters hour has  
22 come, and we're technically aright again and would  
23 like to begin the second half of the afternoon session  
24 with a presentation from the FDA that will be led by  
25 Dr. Edward Cox, a Medical Officer with the division.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 Dr. Cox .

2 DR. COX: Good afternoon. I'm Edward Cox.  
3 I'm a Medical Officer in the Division of Special  
4 Pathogens and Immunologic Drug Products, and I'll be  
5 presenting the FDA presentation of the clinical  
6 efficacy data for Levaquin for the treatment of  
7 penicillin resistant Strep. pneumoniae and penicillin  
8 intermediate Strep. pneumoniae in community acquired  
9 pneumonia.

10 And in the presentation I'll try to refer  
11 to the acronyms PRSP and PISP and also use CAP as an  
12 abbreviation, C-A-P, for communityacquiredpneumonia.

13 Can I have the next slide, please?

14 I know we've already heard a presentation  
15 from the folks from PRI. So I'll try and abbreviate  
16 my comments in areas that they've already covered.

17 You've seen a slide similar to this, and  
18 I just wanted to start just with a little bit of  
19 background. As we've heard, levofloxacin was  
20 originally approved in December of 1996, and included  
21 among the indications was community acquired pneumonia  
22 due to Streptococcus pneumoniae.

23 And the slide here shows an excerpted  
24 portion of the label. The text in white represents  
25 the current approved labeling for Levaquin, and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1 issue that we're here to discuss today is the efficacy  
2 supplement that seeks to add the claim for PRSP and  
3 PISP in community acquired pneumonia for Levaquin, and  
4 the applicant's proposed addition to the current  
5 labeling is the yellowparentheticalphrase "including  
6 penicillin resistant/intermediate strains."

7 And then if I can have the next slide,  
8 please.

9 And then the way I'd like to approach my  
10 talk is, first of all, I'll talk about levofloxacin  
11 for the treatment of community acquired pneumonia, and  
12 this is community acquired pneumonia in general. So  
13 it includes both community acquired pneumonia  
14 secondary to Streptococcus pneumoniae, and then also  
15 community acquired pneumonia of other causes.

16 Then I'll focus further and talk about  
17 levofloxacin and community acquired pneumonia in those  
18 patients who had an emission isolate Streptococcus  
19 pneumoniae, and this data from the first two bullets  
20 comes from the data in the original NDA.

21 Then we'll move on and we'll talk about  
22 levofloxacin, the treatment of PRSP and PISP in CAP,  
23 and this is the subject of the efficacy that we're  
24 here to discuss today, and the way I'll approach this  
25 will be first to talk about the clinical trials from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 which this data has been drawn, and then secondly I'll  
2 talk about the approach to the FDA efficacy analysis  
3 given that these data were drawn from a number of  
4 clinical trials, and then I'll move on and talk a  
5 little bit about the patient characteristics for the  
6 patients that are under study and then provide the  
7 efficacy rates for levofloxacin and then also for the  
8 comparator treated patients.

9 And I want to just go ahead and mention  
10 one caveat right up front, and that is that only some  
11 of the studies were comparative studies. So not all  
12 studies were able to contribute patients to the  
13 comparator group. So the comparator should be used to  
14 give an impression of the events that went on in the  
15 comparator arm, but may be less appropriate to use for  
16 direct numerical comparisons.

17 Can I have the next slide, please?

18 So we'll start out. We'll talk about data  
19 that was submitted in the original NDA with regards to  
20 Levaquin for CAP and Levaquin for CPA due to  
21 Streptococcus pneumoniae.

22 Next slide.

23 I want to just talk first about the two  
24 major clinical studies that were submitted in support  
25 of the NDA for community acquired pneumonia. The



1 first of these was K-90-071, and this was an open  
2 label, randomized study that was comparative, and it  
3 compared levofloxacin to a cephalosporin based regimen  
4 with the option to add either erythromycin or  
5 doxycycline to the cephalosporin arm of the study.

6 This study enrolled approximately 600  
7 patients.

8 And then the second major study that  
9 supported the community acquired pneumonia indication  
10 was M-92-075, and this was a noncomparative study that  
11 looked at levofloxacin in the treatment of community  
12 acquired pneumonia and enrolled a total of 264  
13 patients.

14 Next slide.

15 Now, what I'd like to first do is just  
16 give you an impression of the patient characteristics  
17 that were under study in these two major clinical  
18 trials, and the data here in this table is shown first  
19 of all by study, and here we have K-90-071 and we have  
20 the two treatment groups, levofloxacin and the  
21 cephalosporin based comparator regimen.

22 And then in this column we have the data  
23 from the noncomparative study M-92-075, which all  
24 patients receive levofloxacin, and then the selected  
25 patient characteristics here. We have severity of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disease at baseline and we see that approximately 16  
2 percent of the patients across the board had disease  
3 that was classified as severe at baseline.

4 Then if we look at the category of  
5 hospitalization we see that across the board for the  
6 two arms of the comparative study, and then also in a  
7 noncomparative study, we see that approximately 40  
8 percent of the patients were hospitalized across these  
9 studies.

10 Next slide.

11 Now, as far as the efficacy results from  
12 the K-90-071 study, this is the comparative trial of  
13 Levaquin that was in the original NDA. I want to talk  
14 about three categories of data.

15 The first one I'll talk about is clinical  
16 success in community acquired pneumonia, and clinical  
17 success includes both those patients who were  
18 classified as clinical cure at the test of cure visit  
19 **and also** those patients who were classified as  
20 clinically improved.

21 Then this is all comers with community  
22 acquired pneumonia. So it includes patients both with  
23 Streptococcus pneumoniae or other isolates in addition  
24 to Streptococcus pneumoniae. So this is all comers  
25 with community acquired pneumonia.

1           The next category of data I want to talk  
2 about will **be** microbiologic eradication in community  
3 acquired pneumonia, and this includes both those  
4 patients who had documented microbiologic eradication  
5 or the other category of microbiologic eradication  
6 which is presumed microbiologic eradication, which  
7 means that the patient was clinically improved and at  
8 the time of the test of cure visit was not able to  
9 produce an appropriate specimen for microbiologic  
10 analysis.

11           Then the third category of efficacy data  
12 that I'll talk about is the clinical success rate for  
13 those patients who had community acquired pneumonia  
14 and their emission isolate was Streptococcus  
15 pneumoniae.

16           And if we look across these three  
17 categories, we see that for the levofloxacin arm in  
18 this comparative study, the efficacy rates for  
19 clinical success, microbiologic eradication, and  
20 clinical success for those patients who had Strep.  
21 pneumo. as their emission isolate, we see they're  
22 approximately 96 percent.

23           Then in the comparator arm which used the  
24 cephalosporin based regimen, we see that the efficacy  
25 rates, again, for these three categories are in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealgross.com

1 mid-80 percent range.

2 And then could we have the next slide,  
3 please?

4 Now, this slide is laid out much in the  
5 same way, and the same three categories are presented,  
6 and this is from the noncomparative study, M-92-075.  
7 And we see that for this study the rates for clinical  
8 success, microbiologic eradication, and clinical  
9 success for patients who had Strep. pneumo. is their  
10 emission isolate. We see rates that are similar to  
11 what was observed in the comparative study. The rates  
12 are approximately 94 percent for these three efficacy  
13 categories.

14 And then the next slide.

15 And I've talked about that **data** from the  
16 original NDA just to provide an impression of the  
17 efficacy of Levaquin in the treatment of community  
18 acquired pneumonia and in those cases where community  
19 acquired pneumonia is secondary to Strep. pneumo., and  
20 most of these isolates are susceptible Strep. pneumo.  
21 from the original NDA.

22 Now we'll move on, and you know, with that  
23 as a framework, move on and start talking about the  
24 data in the supplemental NDA which focuses on PISP and  
25 PRSP in CAP.

1 Next slide.

2 And first of all, I just want to talk a  
3 little bit about where the data have been derived from  
4 that are the subject of the supplement here today.  
5 They are drawn from eight clinical trials and four of  
6 these studies were randomized comparative trials.  
7 Three were open label. One was double blind, and then  
8 four of the studies are open label, noncomparative  
9 studies.

10 Next slide.

11 And from the four randomized comparative  
12 studies, four levofloxacin treated patients were  
13 identified who had PRSP isolated. Six levofloxacin  
14 treated patients had PISP isolated.

15 And if we look at the comparators for  
16 these studies that had comparators, we see that there  
17 are approximately a similar number of patients was  
18 also found in the comparator arm.

19 Then if we move on and look at the four  
20 open label, noncomparative studies, a total of 14  
21 levofloxacin treated patients with PRSP were  
22 identified and 43 levofloxacin treated patients with  
23 PISP were identified.

24 And then if we look at data just to get an  
25 overall impression of the rates of PRSP and PISP in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 these clinical trials, the rates are roughly five  
2 percent and 15 percent for PISP.

3 Next slide.

4 And this slide provides a little more  
5 detail as to where these patients were derived from  
6 that had PRSP and PISP. First of all, in this column  
7 we have the study identify by the study number and the  
8 slides divided into two sections. The first three  
9 studies are the NDA studies that supported the CAP  
10 indication with the top two being the major clinical  
11 studies that supported the CAP indication.

12 And then in the bottom portion of this  
13 slide are the other studies that are included as part  
14 of the efficacy supplement that provided additional  
15 patients with PRSP and PISP isolates.

16 The first column designates those studies  
17 that had comparators. The second column designates  
18 those studies that were randomized. The third column  
19 designates those studies **that were double blind, and**  
20 **the next, those** studies that were open label.

21 And then in the last two columns, the  
22 number of patients who had PRSP or PISP by study as  
23 designated in this column, and we see the total number  
24 of levofloxacin treated patients with PRSP, **18**, and  
25 the total number of levofloxacin treated patients with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 PISP, totaling at 49.

2 And I haven't shown data here for the  
3 comparator patients, but as you'll see as we get a  
4 little further in, the numbers are small. So I think  
5 this gives a pretty good impression of where the  
6 levofloxacin treated patients with PRSP and PISP were  
7 derived from from the clinical studies.

8 Next slide.

9 And then I want to talk a little about the  
10 approach to the FDA efficacy analysis, and just sort  
11 of to start out, the reason why it's important to  
12 consider the approach. You know, first of all, as  
13 you've seen, there's a number of studies that we're  
14 drawing patients from here.

15 The studies have some differences in study  
16 design. Some of the studies had only one test of cure  
17 visit post therapy. Other studies had more than one  
18 visit following the completion of therapy, and these  
19 visits could occur at different points in time  
20 following the completion of therapy.

21 Because of these variations, it was  
22 important to try and standardize the approach to how  
23 the data was analyzed and also, secondly, to try and  
24 establish clinical successes that were durable  
25 clinical successes, and that is those clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1 successes that are far enough removed from the time of  
2 completion of antimicrobial therapy to designate true  
3 durable clinical success.

4 so two categories were defined:  
5 supportive cases and pivotal cases. Now, in order to  
6 be either a supportive or a pivotal case, first of  
7 all, patients had to meet the protocol specified  
8 evaluability criteria, and then if a patient had only  
9 a single test of cure visit and that test of cure  
10 visit occurred two to four days post therapy, that  
11 patient was classified as a supportive case.

12 For those patients that had a test of cure  
13 visit five to 21 days post therapy or had two post  
14 therapy visits with one being two to four days post  
15 therapy and then a second visit that occurred on the  
16 fifth day or later post therapy, that patient could be  
17 in the pivotal group of cases.

18 And then with regards to failures, all  
19 failures were considered pivotal, and in order for a  
20 patient to be considered eligible for failure, that  
21 patient would have had to receive 48 hours of therapy.

22 Next slide.

23 And one thing I'll mention, too, just  
24 before I get to this slide is that in the studies,  
25 some of the studies were non-IND studies that data are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 derived from, and had post therapy evaluations that  
2 per protocol specifications could occur as early as  
3 two days post therapy.

4 As far as the rationale for pivotal versus  
5 supportive cases, in order to allow time for drug to  
6 clear and also in order to allow time for inadequately  
7 treated disease to recrudescence, pivotal cases are  
8 defined as being those cases that undergo their test  
9 of cure visits five to 21 days post therapy, and the  
10 idea here is that we're trying to designate those  
11 cases where success is a durable outcome and drug has  
12 had a chance to clear and disease that's merely  
13 suppressed would have time to recrudescence.

14 And then next slide, please.

15 And then I've got this slide here really  
16 just to provide a handle on the populations that I'll  
17 be talking about in the subsequent slides regarding  
18 patient characteristics, and across the top row here  
19 we see levofloxacin treated patients, comparator  
20 treated patients who had either **PRSP** or **PISP**, and this  
21 number 18 reflects the total number of levofloxacin  
22 treated patients with **PRSP** that were identified, and  
23 then of these 18 patients, 11 of the cases were  
24 considered pivotal. Four were considered supportive,  
25 and three were nonevaluable.

1           And then for the levofloxacin treated  
2 patients who had PISP, there were a total of 49  
3 patients identified. Thirty-seven were considered  
4 pivotal. Four were supportive, and eight were  
5 nonevaluable.

6           And then if we look at the comparator  
7 treated patients, we have three pivotal comparator  
8 treated PRSP cases and four pivotal PISP cases in the  
9 comparator arm.

10           Now, in the subsequent slides I'll be  
11 referring to an n of 15, and that represents the  
12 patient characteristics for the pivotal and supportive  
13 **cases** combined, and then an n of 41 for the  
14 levofloxacin treated patients with PISP, which  
15 represents the pivotal and supportive cases combined.

16           May I have the next slide?

17           And then this is a slide that's got a lot  
18 of information on it. So I'll just try and focus you  
19 on a couple of the locations.

20           First of all, in this column is the data  
21 for the levofloxacin treated patients with PRSP, and  
22 this column is the data for the levofloxacin treated  
23 patients with PISP.

24           And we see with regards to the patient  
25 characteristic of hospitalization we have nine of 15

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients who were in-patients, and then in the PISP  
2 levofloxacin treated patients, we had 28 of 41 for  
3 approximately 68 percent.

4 And then if we look at disease severity at  
5 baseline, we have five of 15 levofloxacin treated  
6 patients who were classified as severe, and then 12 of  
7 41 PISP levofloxacin treated patients who had their  
8 disease categorized as severe at baseline, and I also  
9 not that there's a significant number of patients  
10 whose disease classification was unknown.

11 And then if we look at the number of  
12 patients who had bacteremia, we have six of 15 of the  
13 levofloxacin PRSP patients who were bacteremic at the  
14 time of study entry, and in the PISP arm we have six  
15 of 41 for approximately 15 percent.

16 And then in the bottom column, this  
17 designates those patients who received pre-study  
18 antibiotics for less than 24 hours, and typically this  
19 is a single dose of an active antimicrobial that the  
20 patient receives prior to study enrollment, and we  
21 have four of 15 levofloxacin PRSP treated patients who  
22 got pre-study antibiotics for less than 24 hours and  
23 ten of 41 of the levofloxacin PISP patients who got  
24 less than 24 hours of pre-study antibiotics.

25 And the next slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And this is the same table essentially for  
2           the comparator treated patients, and I'll just sort of  
3           quickly just go through a couple of the categories  
4           here. For the comparator PRSP treated patients, we  
5           see that all three of three were in-patients, had  
6           severe disease, and were bacteremic, and of the four  
7           PISP patients, two were in-patients, one had disease  
8           classified as severe, and one was bacteremic.

9           Next slide.

10           And then one other comment I'll make about  
11           the data is that, you know, this data was derived from  
12           a total of eight studies, but one additional note that  
13           I'll make is that one center contributed six of the 11  
14           pivotal levofloxacin treated PRSP cases and this same  
15           study center also contributed 11 of the 37 pivotal  
16           PISP cases that were levofloxacin treated.

17           Next slide.

18           Now I'll move on and talk about the  
19           efficacy results for PRSP and PISP in CAP that were  
20           observed with Levaquin, and I'll present the clinical  
21           efficacy and microefficacy essentially simultaneously,  
22           and that is because the numbers were the same.

23           And for the levofloxacin treated patients  
24           with PRSP, those cases that were defined as pivotal  
25           successes, we have 11 of 11 pivotal successes for an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 efficacy rate of 100 percent.

2 And for those cases that were defined as  
3 supportive successes based on the timing of their test  
4 of cure visit, we have four of four patients for 100  
5 percent for levofloxacin treated patients who had  
6 PRSP.

7 And then we have three patients who were  
8 nonevaluable.

9 And then if we look at the levofloxacin  
10 treated patients with PISP for the pivotal successes,  
11 we have 37 of 37 for 100 percent, and then for those  
12 cases that were considered supportive successes, we  
13 have four of four, and then eight nonevaluable  
14 patients for the levofloxacin treated patients with  
15 PISP.

16 And then next slide.

17 And then I'll present the data for the  
18 comparator again just to give an impression of the  
19 events in the comparator arm of the study.

20 And then for those patients who received  
21 comparator therapy, and that was only -- these  
22 patients only were from some of the clinical trials  
23 because not all trials had a comparator arm -- for  
24 those patients with PRSP and PISP with CAP we see that  
25 three of three patients were defined as pivotal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200053701

1 successes, and then in the comparator treated patients  
2 with PISP four of four were defined as pivotal  
3 successes, and five of the PISP comparator treated  
4 patients were nonevaluable.

5 And then next slide, please.

6 And then I present this information really  
7 just to give an impression of the cross-resistance  
8 that was observed in the levofloxacin treated  
9 patients, from their isolates from the clinical  
10 trials, and we'll start out here at the bottom.

11 For the penicillin intermediate Strep.  
12 pneumo. isolates, the total of 49 that we've talked  
13 about for the total number of patients identified who  
14 were levofloxacin treated who had PISP, we see that 48  
15 of these isolates were levofloxacin sensitive. One  
16 was levofloxacin resistant.

17 And then if we move over to the penicillin  
18 resistant column, we have 18 total isolates and 18 of  
19 the 18 isolates were sensitive to levofloxacin.

20 And then the next slide, please.

21 So now I just want to summarize. In the  
22 data from the original NDA that supported the approval  
23 of Levaquin for the treatment of community acquired  
24 pneumonia, the clinical and microbiology success rates  
25 that were observed in the treatment of community

1 acquired pneumonia of all causes were in the  
2 approximately 95 percent for levofloxacin, and then  
3 for the comparator were in the mid-80 percent range.

4 And then if we look specifically at the  
5 patients who had Strep. pneumoniae as their admission  
6 isolate from the NDA clinical studies, we see clinical  
7 success rates for Strep. pneumo. for levofloxacin in  
8 the mid-90 percent range, and then for the comparator  
9 we see 85 percent in the one study that had a  
10 comparator. And this is all data from the original  
11 NDA.

12 The next slide.

13 And then just to summarize the data that's  
14 the subject of the discussion for today, this is the  
15 supplemental NDA data looking at Levaquin for the  
16 treatment of PRSP in CAP. The clinical and  
17 microbiologic success rates that were observed for  
18 levofloxacin were 100 percent with 11 of the cases  
19 being pivotal and four supportive.

20 And then for the levofloxacin treated  
21 patients who had CAP and PISP as their isolate, the  
22 clinical and microbiologic success rates for  
23 levofloxacin were 100 percent, with 37 of the cases  
24 being pivotal and four supportive.

25 And then the comparators for both

1 categories, the numbers are small, but the success  
2 rates were 100 percent.

3 And that concludes my presentation, and  
4 now we'll just go -- go to the next slide. And then  
5 one more slide.

6 Before I finish, I just wanted to just  
7 touch on the questions that we'll be asking the  
8 advisory committee to address, and then I'll try and  
9 answer any questions with regards to my presentation.

10 The first question for the advisory  
11 committee is:

12 Are the data sufficient to demonstrate  
13 that levofloxacin is safe and effective for the  
14 treatment of CAP due to PRSP?

15 And then if the answer is no, what  
16 additional data would be required?

17 If the answer is yes, are there any  
18 caveats about its use that you would recommend be  
19 included in the product labeling?

20 And should any mention of PISP be made in  
21 the indications and usage section?

22 And then next slide.

23 And the question number two: do you have  
24 any recommendations regarding Phase 4 studies or data  
25 collection that the applicant should be requested to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com



1 perform?

2 And question number three: do you have  
3 any recommendations for future clinical trials for  
4 this indication? Such recommendations might address,  
5 but are not limited to the issues of the supportive  
'6 value of isolates from other body sites and the  
7 usefulness of data from penicillin intermediate  
8 isolates.

9 And just any questions for me now? I'd  
10 like to open up.

11 Dr. Murray.

12 DR. MURRAY: Just for curiosity, your  
13 numbers were slightly different from their numbers.  
14 In one case you had one or two more isolates, and the  
15 PISP had one or two, a couple less. Was that lumping  
16 more trials or --

17 DR. COX: They're all the same trials.  
18 They're all the same 49 and 18. There's one patient  
19 for the levofloxacin treated patients with PRSP that  
20 was included among my supportive plus pivotal cases  
21 that was outside of the test of cure window in the  
22 analysis done by PRI that fell into the test of cure  
23 window for my analysis. So there are differences of  
24 that nature that lead to slight differences in the  
25 numbers in the denominators that we're referring to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Yes.

2 DR. PARSONNET: Do you have confidence  
3 intervals around the cure rate, what the lower  
4 confidence interval would be?

5 DR. COX: For the PRSP and --

6 DR. PARSONNET: Yeah, for the PRSP.

7 DR. COX: We didn't calculate confidence  
8 intervals, and I think, you know, we're dealing with  
9 small numbers here. Certainly you could. We did not.

10 DR. RELER: Barbara.

11 DR. MURRAY: I just wondered if you or  
12 someone else at FDA or even Barth could sort of remind  
13 us of the discussions of a year or more ago about the  
14 ten percent or ten isolates being resistant out of the  
15 big subset or the big set of clinical isolates.

16 DR. COX: Do you want me to try to address  
17 that is that a question --

18 DR. GOLDBERGER: You're welcome to try.

19 (Laughter.)

20 DR. COX: I think Dr. Goldberger might do  
21 a better job if that's okay.

22 DR. MURRAY: I'm not saying that we should  
23 be held bound to what we discussed ad hoc at an  
24 earlier date because people may change their minds  
25 about things, but nonetheless to refresh us what we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 did say.

2 DR. GOLDBERGER: Well, there's, first of  
3 all, a standard that has been used in a number of  
4 trials for anti-infective products for some time of  
5 looking at the microbiologically evaluable patients  
6 that for a given organism, ten percent or ten,  
7 whichever is more, of the given organism should be  
8 present out of the total group of microbiologically  
9 evaluable patients.

10 So, for instance, you had 150  
11 microbiologically evaluable patients. You ought to  
12 have 15 at least of a given isolate.

13 So there are the rules like that that  
14 exist.

15 One of the reasons for the degree of  
16 discussion, I think, last year at the advisory  
17 committee about resistant isolates goes back to even  
18 before at another advisory committee about the  
19 concerns that were already being raised about the  
20 ability to enroll sufficient numbers of penicillin  
21 resistant *Streptococcus pneumoniae* cases.

22 And, therefore, were there other options  
23 that might be considered in terms of getting a  
24 sufficient number of information or a sufficient  
25 amount of information?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealrgross.com

1           you will recall, you know, some of the  
2           remarks I made earlier today about the issue of the  
3           use of preclinical data, MIC data, PK/PD data, and the  
4           importance, for instance, of susceptible pneumococci  
5           if one doesn't believe that cross-resistance is an  
6           issue.

7           Ultimately, of course, the amount of  
8           information that's here is one of the questions we're  
9           really asking the committee about for its opinion at  
10          this point in time when we ask if there are sufficient  
11          data about safety and efficacy.

12          But, in fact, one of the goals of having  
13          a lot of the discussions we've had is to think in the  
14          absence of the usual number of isolates, depending on  
15          the given circumstances of the situation, are there  
16          other types of data that would also be helpful in  
17          coming to a decision?

18          My sense from the meeting last year is  
19          that most people felt that that was the case, but  
20          ultimately we have to decide at this moment in time  
21          what people think about the body of information that  
22          has been collected here.

23          DR. RELLER: At the end, we will have a  
24          vote on these questions and then the sections for  
25          recommendations.

1           Before getting to the questions, is there  
2 any comments or discussion that people would like to  
3 make before tackling the questions?

4           (No response.)

5           DR. RELLER: Before doing so, I would like  
6 to clarify. The sponsor presented their information  
7 and has requested action that is embodied in the  
8 questions, but slightly different from. Dr.  
9 Goldberger, Dr. Kweder, are we to address these  
10 questions?

11          DR. GOLDBERGER: The sponsor basically  
12 asked for a modification in their labeling, which  
13 means, in essence, they would like to add to their  
14 indication the terms "penicillin for community-  
15 acquired pneumonia," "penicillin resistant Strep." and  
16 "penicillin intermediate Strep."

17          And in essence we have restated it in the  
18 traditional way: is levofloxacin safe and effective  
19 for the treatment of CAP due to penicillin resistant  
20 Strep.? That is tantamount to placing that phrase in  
21 the indications and usage section. So I would like to  
22 think what we're asking is the same thing basically.

23          They wanted to basically show you how  
24 their label would be impacted. What we're asking you,  
25 in essence is: is there sufficient information to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 warrant making this change in the label, which is  
2 adding this one phrase to their indication section?

3 DR. **RELLER:** I think that it may be  
4 worthwhile to discuss this point because when the  
5 committee members vote, it must be certain what  
6 they're voting for.

7 Dr. Chesney was not able to be here this  
8 afternoon owing to previous commitments, but it has  
9 been the tradition in the past that a voting member of  
10 the committee who has comments that they want to make  
11 is able to leave for the record written comments, and  
12 I would like to read her comments for inclusion in the  
13 discussion.

14 She wrote, "I have several  
15 issues/concerns. Of the 14 penicillin fully resistant  
16 available case provided in the material, only two  
17 cases had penicillin MICs greater than two micrograms  
18 per mL, and none has MICs greater than four micrograms  
19 per mL. These are very small numbers, particularly as  
20 there were no failures with resistant organisms in the  
21 control group, ceftriaxone plus or minus  
22 erythromycin," and that's been affirmed in what we've  
23 just been presented.

24 "Other than meningitis and otitis media"  
25 -- second point -- "there have been rare or no clear-

1 cut failures of beta lactem drugs to treat penicillin  
2 intermediate or even resistant organisms when usually  
3 recommended doses and durations are used for community  
4 acquired pneumonia.

5 "3. The role of fluoroquinolones for  
6 resistant pneumococci or the rate of fluoroquinolone  
7 resistant pneumococci is increasing rapidly as the  
8 CDC, Dr. Whitney, has indicated. Increased use will  
9 only emphasize this, and we will lose almost our last  
10 resort class of antibiotics.

11 "4. As clinicians are unclear as to the  
12 difference between intermediate and resistant, if this  
13 drug is approved and marketed as the first and only  
14 antimicrobial for penicillin resistant strains, it  
15 will be widely used for everything, including by  
16 pediatricians, family physicians, ENT surgeons, et  
17 cetera, in children for recalcitrant otitis sinusitis.

18 "Even though fluoroquinolones are not  
19 intended for children, 12,000 courses were prescribed  
20 in children less than one year of age in 1996 alone."

21 These are ciprofloxacin, not levofloxacin data.  
22 "There will be even less hesitancy to use 'a better  
23 drug.'

24 "Lastly, we badly need new antimicrobials  
25 for these common penicillin resistant organisms. I am

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 234-4433

www.nealgross.com

1 concerned that based on only 14 patients with no  
2 evidence for activity better than we already have,  
3 physicians will not use levofloxacin prudently.

4 "Perhaps it would be fair to advertise it  
5 as comparable to ceftriaxone, but not better."

6 And those are the comments that if she  
7 were here, Dr. Chesney would state in the discussion.

8 Are there any other points?

9 DR. NORDEN: I guess I'd also like to ask  
10 some clarification perhaps from Dr. Goldberger, but  
11 the present label, community-acquired pneumonia due to  
12 Streptococcus pneumoniae, without specifying anything  
13 about resistance or intermediate penicillin,  
14 encompasses. I mean it doesn't say anything about  
15 penicillin resistance in the present. So it doesn't  
16 imply that it isn't effective.

17 Dr. Murray raised this question earlier  
18 this morning, I think. It isn't encompassed, and what  
19 bothers me, I guess, is then all other drugs, like  
20 ceftriaxone, should -- or amoxicillin -- be effective  
21 against penicillin intermediate based on what we know.

22 Does everybody have to come in and  
23 reapply? I guess I'm not sure why we would change  
24 this label.

25 DR. GOLDBERGER: Well, let me try to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com



1 address that. There is a term in the law that is  
2 "ripeness," that is, when an issue is sort of ripe for  
3 discussion, and we have probably reached that point  
4 with this particular topic.

5 There has been a growing amount of  
6 interest in the pharmaceutical industry about the  
7 issue of drugs, for instance, beyond resistance  
8 specifically for this issue of penicillin resistant  
9 Strep. pneumoniae, and as a consequence we are sort of  
10 obliged to consider that issue to gain the, you know,  
11 opinion of the committee.

12 I would think that there is enough  
13 information in the scientific literature, as well, to  
14 say that the time has come to discuss whether it is  
15 reasonable to grant such an indication, and I'll come  
16 back in a moment to the significance of that.

17 I think one can certainly argue about  
18 present significant of penicillin resistant Strep.  
19 pneumoniae, but it would seem reasonable at this point  
20 scientifically, as well as from a regulatory  
21 perspective, to at least have the discussion.

22 As far as the issue goes of putting it in  
23 the label, ultimately, of course, one of the major  
24 issues here is a promotional one, and in fact, that  
25 placing it in the label does influence what a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pharmaceutical company is able to do in terms of their  
2 promotional material.

3 Now, we need to keep in mind the specific  
4 issues here since this can vary from case to case.  
5 This is, for instance, an already marketed product.  
6 Whether or not a change is made in the product label,  
7 the product is available for physicians to use. It  
8 may be used in any way, you know, that physicians deem  
9 appropriate.

10 I think that one could make at least a  
11 reasonable case that sometimes putting information in  
12 the label, including and as you'll notice in our  
13 questions appropriate caveats, actually is more  
14 helpful than leaving out information and then leaving  
15 it solely to the physician's discretion as to how to  
16 proceed without, for instance, the type of promotional  
17 and educational material which may, for instance,  
18 provide a broader picture.

19 I think all of us have been -- were  
20 impressed by the thoroughness with which the company  
21 approached this problem. I would be surprised if, for  
22 instance, they haven't considered some of the issues  
23 that we're talking about now in terms of how one might  
24 influence physician prescribing to avoid some of the  
25 problems that have been brought up, and I think if

1 committee members are interested, you can certainly  
2 inquire of the company as to what their thinking is  
3 currently.

4 But realistically this is a promotional  
5 issue. One must also keep in mind that even within  
6 the issue of promotion, there are certain types of  
7 promotion currently that are available even without  
8 the indication.

9 So, on one hand, granting this allows a  
10 certain type of advertising. On the other hand, it  
11 may also grant a certain type of control over that.

12 Nonetheless, at the end of the day you  
13 need to decide whether the data is sufficient to  
14 support such an indication. Your reservations about  
15 this issue should obviously come out very clearly.

16 If you feel the data is sufficient, then  
17 any caveats you have -- and we spoke specifically in  
18 our question about the issue of any modifying  
19 statements in the labeling -- under certain  
20 circumstances labeling may say for patients who have  
21 had certain microbiologic tests, for patients who may  
22 be at high risk for such-and-such, even if there is  
23 some information about how one might define that, et  
24 cetera.

25 We leave that to your discretion to make