

0300

1 then you can greatly reduce the enteric or increase the  
2 enteric efflux transport and greatly reduce the  
3 bioavailability.

4 But the other issue is, you know, I'm uncomfortable  
5 with the bottom half of the slide, because we don't know  
6 what KI means. Again, it's going to be different for  
7 enteric versus blood-brain barrier. We don't know what I  
8 is. We don't know if it's total or unbound, and we don't  
9 know about 0.1, whether that's too conservative or too  
10 liberal.

11 So, you know, we want to give guidance, but we don't  
12 want to over guide based on the validity of the existing  
13 data.

14 DR. HUANG: Can I ask you a question? Some of the  
15 issues that you just mentioned also are applicable to CYP?

16 DR. GREENBLATT: Yes.

17 DR. HUANG: So you're essentially commenting based on  
18 the experience from CYP basic direction?

19 DR. GREENBLATT: Well, but the -- or commenting on,  
20 you know, that for transporters, we're not there yet. We  
21 need more information on, you know, let's gather information  
22 on ICT50, on I, on KI, and look at, you know, .1, point .05,

0301

1 .2 and gather some information before launching into  
2 guidance, because we just don't know yet.

3 And the same would go for labeling; okay? If  
4 obviously a label wants to warn appropriately when there is  
5 a hazard, because when you do that and you avoid a hazardous  
6 combination, that's good for public health. But if you over  
7 warn against hazards that don't really exist, that's also  
8 bad for public health, because it deters drug use or  
9 encourages insufficient dosage. So you need to be very  
10 careful that you balance appropriate warning versus  
11 excessive warning.

12 CHAIRMAN VENITZ: Thank you. Howard?

13 DR. MCLEOD: While you still have the microphone, is  
14 your worry that you don't know what this will do or is your  
15 worry that you -- I guess what I'm trying to get at is it --  
16 is your worry that there will be too much harm done or is  
17 there's just not enough knowledge? Because if there's not  
18 enough knowledge, you have to start some place.

19 DR. GREENBLATT: Okay. I think guidance is one thing,  
20 and labeling is another.

21 DR. MCLEOD: Right. Okay.

22 DR. GREENBLATT: With regard to labeling, I want to

0302

1 make sure there's appropriate warning, but not over warning  
2 based on insufficient knowledge, and with regard to  
3 guidance, you know the numbers that you put up here that's  
4 going to launch many sponsors into expenditure of resources  
5 that may or may not be needed, based on the validity of the  
6 information.

7 So before launching into guidance, I think we need  
8 more information on the validity of those paradigms.

9 DR. HUANG: Although if we have this guidance, we  
10 probably can reduce the number of studies that we're seeing

11 --  
12 DR. GREENBLATT: Ultimately.  
13 DR. HUANG: -- right now.  
14 DR. GREENBLATT: Ultimately. Ultimately. Ultimately.  
15  
16 DR. HUANG: Well, right now, we would recommend quite  
17 a few Digoxin studies not to be conducted, but we're seeing  
18 them.  
19 DR. GREENBLATT: That's because I think sponsor remain  
20 worried that maybe these guidances are not, you know, don't  
21 have enough substantive data to validate them.  
22 CHAIRMAN VENITZ: Kathleen?

0303

1 DR. GIACOMINI: Well, I mean if you're seeing extra  
2 studies, you know, that people -- because there's always in  
3 the last and the .1 and having some guidance at your .1. If  
4 you include, but that's ridiculous that there has been some  
5 studies who have been less than .1 have been considered.  
6 DR. HUANG: Well, it's partly because we don't have a  
7 standardized criteria or threshold.  
8 DR. GIACOMINI: And that's -- they have no way. All  
9 they know and we have no way of knowing?  
10 DR. HUANG: Right.  
11 I mean based on this?  
12 DR. GIACOMINI: That says --  
13 DR. HUANG: It's an inhibitor, so we're trying to --  
14 similarly with the CYP criteria to determine for -- or maybe  
15 for our reviewers not to ask for a study as well.  
16 DR. GIACOMINI: So my feeling might be you have to  
17 start somewhere. You start somewhere, but you get the data.  
18  
19 DR. HUANG: The information.  
20 DR. GIACOMINI: You get -- collect the data so that  
21 you actually have this based on the substrate in some form,  
22 but you start somewhere to give them some guidance.

0304

1 CHAIRMAN VENITZ: Let me just follow up on Dr.  
2 Greenblatt, because I think I'm coming down to him, and his  
3 friend in New Hampshire. I think the answer is not yet.  
4 So I don't think you're ready yet for a decision tree  
5 like this I just don't think we have the knowledge. My  
6 concern primarily focuses around this -- and what the I  
7 means. And we've talked about bound, unbound. I personally  
8 believe it's the KI concentration as well. Think about how  
9 the KI is determined. You know, the concentration of the  
10 inhibitor is on the abscissa. And we're now comparing that  
11 to the systemic concentration, the circulating  
12 concentration, about on-would not make a difference.  
13 Okay. So I don't believe that those numbers right now  
14 on this empiric evidence comes out. It can mechanistically  
15 justified.  
16 DR. HUANG: So can I ask you that maybe you also do  
17 not agree with our decision we made for CYP3A?  
18 CHAIRMAN VENITZ: No, but CYP3, you can make a  
19 coherent argument that it's at circulating levels.  
20 DR. HUANG: Because it's the same thing: a lot of  
21 intense 3A --

22 CHAIRMAN VENITZ: I understand.

0305

1 DR. HUANG: -- and this concentration will be much  
2 higher.

3 CHAIRMAN VENITZ: I understand. I understand. I  
4 believe that's it, but we have more experience with it. So  
5 in addition to the mechanistic uncertainty that here to me  
6 is large, we have some empiric evidence to support that it  
7 seems to work. At least we haven't found any big  
8 discrepancies.

9 For P-gp, I just don't think the experience is there  
10 yet, and I don't know whatever implement this, whether you  
11 have fewer studies or more studies. And even if you have  
12 fewer studies, does it mean you have more pause for  
13 negatives, but then it turns out whether the study should  
14 have been done.

15 I don't think we're there yet.

16 DR. HUANG: Okay. I just want to clarify: this is  
17 like the CYP system. All we want to do is to make sure we  
18 do not have negatives. But like with the CYP system, as Dr.  
19 Greenblatt has pointed out, there are a middle range where  
20 based on the I over KI, you really don't know the extent of  
21 interactions clinically, and that is okay, because all we  
22 want is to set a threshold where below that there's no

0306

1 possibility of interaction and you don't need to do a study.

2

3 Above this, you will have to find out what is the  
4 extent of interaction based on clinical interaction, as you  
5 have published many studies to show there is -- this is  
6 important between in vitro and in vivo that's based on the  
7 projection of in vitro data to in vivo.

8 However, if you look at the cut off, anything below  
9 that value, most of the time we find concordance. When we  
10 don't see concordance that's because there's an additional  
11 transporter-based interaction. So this is based on what we  
12 learned from the CYP.

13 So we're trying to set a threshold. We don't -- we're  
14 not that step yet to say based on in vitro data, we can  
15 project percent increase or percent decrease in the  
16 clinical. We only want to set a threshold, and we are  
17 trying to propose something similar to P-gp.

18 We're not going to say this system will help us  
19 project the extent of interaction, but just anything below  
20 this level, you do not have to do a study.

21 CHAIRMAN VENITZ: Can we give the Committee members a  
22 chance to hear their opinions, because right now we have

0307

1 obviously again a diverse number of opinions, and I think we  
2 would like to have a more representative feedback for our  
3 friends at FDA.

4 How do the rest of your feel? Is this something that  
5 you framework or inclined to just say we're not ended?

6 DR. MCLEOD: I care, but I don't know why. I think  
7 that we don't have the amount of data that -- to really know  
8 whether this will achieve the goal. I love the concept of  
9 being able to help folks avoid doing expensive studies that

10 will be informative.

11 There needs to be more meat behind the cut point, and,  
12 you know, if that can be done by trolling through the  
13 various literature studies and showing graphically the --  
14 that .1 would have avoided the few positive controls that  
15 are out there, then it might be easier -- it should be more  
16 convincing.

17 But right now, I just don't -- part of it is I just  
18 don't -- I'm not deep enough into this field. I don't know  
19 what's behind this data, whether it's going to be a  
20 fantastic situation or one where there will be too many old.

21  
22 DR. WATKINS: Well, just to second Howard's, but first  
0308

1 to say, you know, I think the whole story of the cytochromes  
2 P40 of being discovered 20 years ago and going on the  
3 guidance as it relates is fantastic. You know the story of  
4 applying science and Shiew-Mei and everybody at the FDA  
5 should be applauded for that, and I think going on to  
6 transporters and calling the question is great. And, you  
7 know, even putting out a recommendation like this forces  
8 people to think about what the cut off is and forces people  
9 and industry who may have unpublished data or academics or  
10 something to come and, you know, meet the challenge of  
11 finding out what that, you know, the right KI and, you know,  
12 I to IC50 is.

13 So I'm with Howard. It would be great to see a slide  
14 that sort of summarized applying these principles you know  
15 where there would not have been a problem, but would have  
16 been a problem, but I guess I kind of favor myself going  
17 with it, and being prepared to modify the guidance as it  
18 goes forward unless people come up with, you know, with  
19 solid examples of where these cut offs are -- should be  
20 modified.

21 I mean we have to start somewhere. And P-gp we  
22 certainly know a lot about. I mean a hundred and ninety

0309  
1 studies have of P-gp clinical interactions in people. I  
2 mean that's a lot of clinical studies, and it would seem  
3 like it should be possible in fairly short order to do the  
4 in vitro studies and sort of, you know, come up with the  
5 right data. Anyway, that's my point.

6 DR. BARRETT: I think the problem it is it just -- I  
7 agree with Dr. Greenblatt. It looks fine at the top, but  
8 then you get to the coin flip era, and it's just hard to see  
9 the bottom part of this in application without understanding  
10 the distribution of I over KI. I mean and even in the CYP  
11 era, you know, you know regions of performance because  
12 you've got all that historical data, so you know where your  
13 comfort zone is.

14 So looking at it as a decision tree, it doesn't have  
15 the same kind of teeth as other decision trees would, so I  
16 think that's kind of the discomfort level with it. You know  
17 I agree for getting things started if it's with the  
18 intention of reducing experiments that are not meaningful  
19 and, you know, overall that reduces the cost of drug  
20 development. It's all good. But I think the application

21 would be the uncertainty of the bottom tier of this is where  
22 I just have a hard time with it.

0310

1 DR. JUSKO: I'm sort of in favor of this from the  
2 viewpoint that I think this train has already left the  
3 station. People are doing these kinds of in vitro  
4 assessments. They're making these kinds of measurements and  
5 trying to use those measurement to decide on how to proceed,  
6 whether to do an in vivo interaction study. I would caution  
7 that use of that 0.1 number because it's probably not a firm  
8 number at this point to go by, and these are guidances. I'm  
9 sure it is not going to be treated like a bioequivalence  
10 study, where specific numbers have to be met.

11 Where I see some concerns, where there's more  
12 complications for transporters compared to CYP enzymes is  
13 the decision at what to do for in vivo study.

14 When one is concerned about interactions that pertain  
15 to drug absorption or drug elimination, pharmacokinetics is  
16 very clear in giving what information on the importance of  
17 the drug interaction. But for transporters, since there's  
18 so many internal tissues that are involved -- the brain has  
19 been mentioned, tumors, placenta, many other tissues -- it's  
20 not going to be possible to judge from pharmacokinetics as  
21 to the importance of the -- the clinical importance of the  
22 potential interaction, and I see the future that there's

0311

1 going to be more need for pharmacodynamic assessments to  
2 determine as the basis for the in vivo interaction study.

3 CHAIRMAN VENITZ: Any other comments? So I think it's  
4 fair to say that there's split opinion among the Committee?

5 DR. THANG: Yeah. I want to add some comment. Yes, I  
6 know at some point we want to determine the potency, and, as  
7 Dr. Greenblatt said, some potent ones that we are worried  
8 and also we want to correlate the potency with the in vivo  
9 exposure, so -- originally we put like IC50 is less than 10,  
10 but we want to put into context, so that's how we get I over  
11 KI ratio.

12 But we also heard a counter-proposal from Dr. Joe Cody  
13 [ph.] from GSK. What his counter-proposal is based on just  
14 the what's in the literature, they like all the co-array of  
15 the drugs shows -- with the drugs, and most of them they  
16 have IC50s of less than 15 micro molar from the in vitro  
17 system, and also they show drug doses more than 100  
18 milligrams.

19 So maybe we can somehow instead of saying exposure,  
20 say dose. If it's high dose, this drug somehow -- you need  
21 to give a high dose for the inhibitor, and it's also shown  
22 in vitro is less than either 10 or 15 micro molars, and we

0312

1 can discuss what that cut off should be; then maybe we  
2 should consider an in vivo inhibition study with a P-gp  
3 substrate. I'm not sure how everybody views about that  
4 comment.

5 CHAIRMAN VENITZ: Any comments by the Committee?  
6 Paul?

7 DR. WATKINS: Just for clarification is the dose then  
8 just designed to account for the fact that the intestine

9 will see a -- is that it? So it's just the intestine that  
10 the dose is formalzing for?

11 DR. THANG: Initially, that's what I thought, but  
12 based on my conversation with him, it's not necessary. It's  
13 just based on historically those drugs show that you happen  
14 to have a dose higher than 100.

15 But you can calculate the use of 250 and maybe  
16 stomach. You can calculate a contribution.

17 DR. GIACOMINI: Yeah, I guess I'm following up on  
18 Paul. I mean if it were in the intestine concentration, I  
19 could get why the dose -- that method would make some sense.  
20 But I don't see it in the systemic. I just don't see the  
21 reason for that.

22 DR. MCLEOD: Shiew-Mei, when you talked with some of  
0313

1 the companies -- this is going to affect them more than us  
2 -- was your .1 number the lowest of the bunch? Is this --  
3 was there a range there that was put forward? I mean how  
4 much due diligence has been done in this area?

5 DR. HUANG: We -- initially, when we published a paper  
6 in Molecular Pharmaceutics, we got a lot of comments from  
7 individual companies, and in that paper, we put on 10 micro  
8 molar as the cut off for IC50 or KI. And the comments we  
9 got is it has to be compared to a systemic concentration.  
10 And the example the sponsored used is actually a .1 ratio,  
11 although they did say that it's a -- if I have a  
12 concentration of one micro molar, I would be worried that  
13 even if the KI is a little bit more than 10 -- so, in a  
14 sense, even they did say you must use I over KI. The  
15 example they gave us is .1, even to micro molar was  
16 considered about right or not too conservative or not too  
17 liberal. But they want us to compare. This is from one  
18 major pharmaceutical company, based on our publication.

19 So we have received different comments, and some are  
20 jus the opposite of the others. So there are some  
21 recommendations that say maybe we should look at IC50 by  
22 itself.

0314

1 So I think if we can modify our proposal. If we look  
2 at IC50 or relative to the concentration, if we would use  
3 the most conservative one. So either approach that --  
4 because the comment we got is really if the concentration is  
5 really high, even if your IC50 is larger than 10, you need  
6 to be worried. That's the comment we got.

7 So 10 is not too often, but yet in case you have a  
8 drug that has a very high systemic exposure, you need to be  
9 worried.

10 So based on that comment, we modified the 2.1 for  
11 further consideration, and we thought the -- somehow the  
12 exposure needs to be here to the dose of the systemic  
13 inhibition numbers.

14 DR. LESKO: Yeah, as you get down to the last part of  
15 the decision tree, on the right-hand side, you're worried  
16 about false negatives; that is, I do an in vitro study that  
17 says I don't have an in vivo, and then I do the study, and I  
18 have one.

19 But from the submissions we've received, my impression

20 is we haven't received any false positives. We've seen  
21 Digoxin studies. They've been negative, as you would have  
22 predicted them to be. So it would seem that the criteria of  
0315

1 less than .1 seems to hold up well on the submissions.

2 Now, why people do those studies may have nothing to  
3 do with this. People can do drug interaction studies to  
4 have a competitive label or to make an advertising claim  
5 that my drug doesn't interact with Digoxin, and here's the  
6 evidence to show it.

7 On the other hand, on the left-hand side, it seems  
8 more of a weaker point in terms of the .1 because you're  
9 worried about false positives, and if .1 is too low, would  
10 something like 0.5 be better to eliminate the risk of false  
11 positives and when Dr. Greenblatt presented, he said it was  
12 -- I think you said, David, if it was 0.5 or greater, it's  
13 probable or likely that there's going to be an in vivo  
14 interaction.

15 That does leave a gray area in between, but at least  
16 it moves you to the point of not having this discrete, you  
17 know, less than .1, greater than .1, but is kind of the  
18 bookends again, which is a nice place to start, and then we  
19 continue to deal with uncertainty in the middle as we get  
20 more data.

21 DR. HUANG: I believe so. As was mentioned earlier,  
22 we know less than .1, probably it's not likely. And those  
0316

1 were way above .1. We know there's a direction, but we  
2 don't have enough data to be concerned. There's a lot of  
3 drugs we have in vitro data, but we don't have in vivo data  
4 in the middle range in order to -- for us to make a firmer  
5 recommendation.

6 So I think this site is probably relevant, but this  
7 one we don't know. We may be too conservative on the right.

8  
9 DR. WATKINS: Larry answered my question exactly.

10 CHAIRMAN VENITZ: Any other comments about question  
11 number one?

12 Okay. Then let's move along to the next question,  
13 Shiew-Mei?

14 DR. HUANG: So this is a very similar question, except  
15 here we're evaluating the new drug as a substrate.

16 CHAIRMAN VENITZ: I have a question. When you see  
17 those studies, is it actually done as a secondary screen or  
18 do companies or sponsors traditionally just look at efflux  
19 with and without inhibitor. In other words, they're trying  
20 to answer two questions with one experiment?

21 DR. HUANG: Yeah. But most of the submissions -- and  
22 John or Lei can comment -- most of the submissions they have  
0317

1 both data.

2 CHAIRMAN VENITZ: Right. So to me, I'm not sure  
3 whether the first decision that you have with the efflux is  
4 above two or less than two. In my experience, usually  
5 inhibition studies are done early on, and then the question  
6 would be do you have an inhibitor effect or not regardless  
7 of what the efflux is, because the efflux is -- if there is

8 no efflux, you won't have an inhibitor effect.  
9 You see what I'm saying? That gets you away from this  
10 magic number of two or one and half, whatever that ratio  
11 will be as an issue.

12 DR. HUANG: So we collapse it?

13 CHAIRMAN VENITZ: Yeah. The first question would be  
14 is there an effect or in vitro effect of inhibitors by one  
15 or more P-gp inhibitors as opposed to having another  
16 decision point on top of that whether there is efflux or not  
17 and what constitutes a significant efflux?

18 DR. HUANG: Okay. But we saw -- by doing this, we put  
19 also cut off some studies. So instead of doing another  
20 inhibitor study, you can just go ahead and stop.

21 CHAIRMAN VENITZ: Right. But my experience at least  
22 is that they're not separate studies. They're one study,

0318

1 where they look at efflux or no efflux with and without  
2 inhibitor. But maybe my experience is not representative.

3 DR. HUANG: Mitch, would you like to comment?

4 CHAIRMAN VENITZ: Yeah, Dr. Taub.

5 DR. TAUB: I think it could be specific to the  
6 indication that you're looking for. So for example, if you  
7 definitely want to avoid having a P-gp substrate, then you  
8 might consider doing the flux ratio study first to determine  
9 whether or not you have a P-gp substrate.

10 The other consideration might be the cell line that  
11 you use and so, for example, if you're using KPRO-2 [ph.]  
12 it's going to express multiple transporters and if you don't  
13 see any flux ratio there, you can be reasonably sure that  
14 you don't have a substrate for it and making sure three  
15 different flux transporters as opposed to getting into a  
16 slightly more complicated experiment. Admittedly, not that  
17 much more complicated when you add a series of inhibitors to  
18 see, to ascertain whether or not you have a P-gp substrate.

19 CHAIRMAN VENITZ: So you think this additional  
20 decision point is going to screen out some compounds?

21 DR. TAUB: I think that the flux ratio study is a very  
22 common study. It's a certain something that we would do.

0319

1 Perhaps, you know, I guess you could argue whether you would  
2 do it first or second, as per your recommendation.

3 But you could almost do them in parallel they're so  
4 close.

5 CHAIRMAN VENITZ: Yes.

6 DR. GIACOMINI: Are you specifying that this is  
7 transfected KACO-2 or MDCK cells or -- because obviously you  
8 get a different flux ratio in different cell lines. So are  
9 you specifying the cell lines?

10 DR. HUANG: Yeah, we said all can be done, and we in  
11 specific said we must have positive control and they have to  
12 be within certain values so people can assume this is a  
13 value in a controlled experiment.

14 CHAIRMAN VENITZ: What indeed were inhibitors do you  
15 usually see when you're using a non-specific target?

16 DR. HUANG: In vitro?

17 CHAIRMAN VENITZ: No, in vivo.

18 DR. HUANG: Oh, that's the -- so are we past that?

19 CHAIRMAN VENITZ: Well, I mean it's my question.  
20 Other members can ask other questions. Go ahead.

21 DR. GREENBLATT: I think you also need to -- I don't  
22 know about the number of two again. I think that may be --  
0320

1 may or not be too aggressive, and again I don't think that  
2 you have enough data to support that, but I think you need  
3 to consider the absolute value of the flux from apical to  
4 basal, because that will allow you to put the flux ratio in  
5 context -- in the context of passive diffusion.

6 And I think it's enormously useful supportive data is  
7 the brain plasma uptake ratio in P-gp knockout mice compared  
8 to controls, and that in vivo data, experimental data,  
9 together with this kind of in vitro data, considering the  
10 uncorrected apical to basal flux, the absolute flux, I think  
11 will put you in a better position to make a decision.

12 CHAIRMAN VENITZ: Okay. Any other comments?

13 I guess my question still remains. Going down the  
14 left-hand column, what in vivo inhibitors do you see?

15 DR. HUANG: Oh, when what we have seen?

16 CHAIRMAN VENITZ: Yeah, right.

17 DR. HUANG: We have seen, as I mentioned earlier,  
18 because of the experience with statins, there are -- the  
19 companies are using Cyclosporine, although we know it's not  
20 specific inhibitors, and we know that it's a great inhibitor  
21 for OATP1B1. But that one has the least.

22 You know what I'm saying? In both the -- well, a  
0321

1 Verapamil study has been done for other drugs that are a  
2 substrate of the 3A. I'm not sure if they're a substrate  
3 for P-gp, but it didn't specify that because of the in vitro  
4 results, and it was used.

5 We have seen Ritonavir. We have seen -- not  
6 specifically Quinidine.

7 CHAIRMAN VENITZ: That's my concern in looking at  
8 this. If you bind with the in vitro screen, which I do just  
9 to some extent, then what are you going to do? What  
10 specific P-gp inhibitor do you have?

11 DR. HUANG: That's our next question.

12 CHAIRMAN VENITZ: I understand, but that is related to  
13 your diagram and your approach here; right? I don't believe  
14 that there is no specific P-gp inhibitor. I might go along  
15 with your decision making, but then I don't know what study  
16 to do, because I'm going to use another interaction that has  
17 other effects and not P-gp, which is the baseline for the  
18 study in the first place.

19 DR. HUANG: Yeah.

20 CHAIRMAN VENITZ: In other words, it becomes a  
21 non-mechanistic study.

22 DR. HUANG: Right now, our guidance recommends this  
0322

1 use -- the extension of Cyclosporine and Verapamil and  
2 Atonavir. We just gave the examples, but in our table it's  
3 more extensive. I'm just trying to see the analogy.

4 CHAIRMAN VENITZ: Looking on your table, I was looking  
5 --

6 DR. HUANG: We have Erythromycin, Ketoconazole, and

7 Triconazole, Quinidine, and then we also put in three  
8 available caplets. The LY335979, the PSE853, the GS1209.

9 CHAIRMAN VENITZ: I was looking for P-gp inhibitors  
10 that wouldn't inhibit anything else. And that's why I asked  
11 you about Verapamil. I don't know what that was. Well, but  
12 that's -- so even if you do the screen, you arrive now on  
13 the left-hand column, you're then still in the position  
14 where you're going to have to use a clinical -- you're going  
15 to have to do a clinical study with an inhibitor that you  
16 know in all likelihood if it's other, it may not be  
17 relevant. That's something that is not considered here.

18 DR. HUANG: Right, and, yeah; this was a decision tree  
19 based on P-gp. However, we know there are a lot of  
20 transporters being evaluated right now, and if you use one  
21 of these non-specific transporter inhibitors, you might also  
22 have uncovered or found out unexpected interactions. So

0323

1 that's why we --

2 CHAIRMAN VENITZ: For the wrong reason; right?

3 DR. HUANG: Yeah.

4 CHAIRMAN VENITZ: You're looking at what the  
5 interaction that applies to --

6 DR. HUANG: Because we don't have any other specific  
7 inhibitors, although it will help our understanding of  
8 possible interactions. So there's some advantage of using  
9 general inhibitors. If it's negative I think we feel very  
10 good of where we will label it, and that's what we have been  
11 doing with the statins.

12 DR. LESKO: Getting to the question on the table, when  
13 you looked at Cyclosporine as an inhibitor for in vivo  
14 studies, admittedly it's not the pure inhibitor that people  
15 are asking about, but doesn't the magnitude of the effect  
16 when the substrate is a P-gp -- when the drug is a substrate  
17 for P-gp, isn't the magnitude of effect much greater than  
18 you would expect by inhibition of an enzyme alone?

19 Like you showed data with the statins, for example,  
20 and you had close to a 10-fold increase in the area under  
21 the curve with Cyclosporine, with Prevastat, not  
22 Cyclosporine.

0324

1 DR. HUANG: Yeah. Right now, a lot of statins they're  
2 also substrates of OATP1B1.

3 DR. LESKO: Well, let me get to this point, though.  
4 If you were just inhibiting an enzyme, would you see the  
5 magnitude of increase with those statins and is that any  
6 signal that you have a substrate for P-gp?

7 DR. HUANG: Most of the statins that we have studied  
8 right now -- well --

9 DR. LESKO: So would Ketoconazole. I mean what kind  
10 of magnitude do you see? You might want to give us statin  
11 with the three and four substrate.

12 DR. HUANG: Yeah, well, most of the statins I believe  
13 we have --

14 DR. LESKO: No. There's --

15 DR. THANG: Yeah, I know for those solo statins,  
16 there's no substrate on a 3A substrate or a very minimum, so  
17 you won't see an interaction. But it is in Japan.

18 DR. LESKO: Well, that's my point. If it's a dirty  
19 inhibitor, if there is no enzyme in the drug's normal  
20 metabolism to worry about, then it doesn't matter that  
21 that's a dirty inhibitor. It's doing the job you want it to  
22 do, namely, prohibit the in vivo exposure change, so you

0325

1 have to sort of build a little bit of thinking into this.  
2 What are the normal pathways based on the drug, and while  
3 Cyclosporine may not be perfect, you could, by elimination  
4 of pathways decide that it would be a pretty good inhibitor  
5 of P-gp.

6 DR. HUANG: Oh, I agree. I think if it's a  
7 CYP-related interaction usually you can sort it out with  
8 other inhibitors such as Ritonavir, although it is also  
9 inhibiting P-gp. But --

10 CHAIRMAN VENITZ: But it was also inhibiting P-gp.  
11 That's why -- I mean I agree with you fundamentally, Larry,  
12 that you can sort it out. We really can sort it out. I'm  
13 not sure whether you can do it, but the important thing is  
14 more likely more convenient. But it may be very difficult.

15

16 DR. HUANG: Right. But the -- okay. But if you take  
17 Quinidine as an example, the drug is not a 2DC substrate,  
18 then you come to the sort of question is do you have -- is  
19 Quinidine an inhibitor.

20 CHAIRMAN VENITZ: I think what you're hearing is it  
21 might depend on individual cases. This kind -- this flow  
22 chart obviously is so general that I think there is some

0326

1 discretion involved here in implementing a new individual  
2 case.

3 When you look at this flowchart overall, how do you  
4 think it's going to fit along with all the other flowcharts?

5 The CYP flowchart. Maybe a UGP flowchart in a couple of  
6 years.

7 But what about overlap, because obviously you've got  
8 as much as the P-gp substrate portions. They are subject to  
9 all kinds of other things is the first question.

10 The second question, the way I understand what you're  
11 proposing here, that is primarily looking at P-gp is related  
12 to drug absorption. In other words, we're not primarily  
13 using this to look at brain transport, uptake into other  
14 tissues where systemic levels may be meeting this potential.

15

16 DR. HUANG: Well, but if you -- the second question  
17 first. Up to this point whether it's a substrate, then you  
18 might be able to understand whether it will have an effect  
19 for brain penetration. If it's not a substrate, you don't  
20 have to worry.

21 Well, then that's what -- the very basis of the  
22 evaluation. I mean we don't have a lot of data on P-gp

0327

1 inhibition effect on brain concentrations or effects that --  
2 and, you know, the example that Dr. Greenblatt has shown.  
3 We really haven't seen a lot of that.

4 CHAIRMAN VENITZ: But the primary application is one  
5 you're solving?

6 DR. HUANG: For the other organs.

7 DR. THANG: Yes, because if you do find that your drug

8 is a P-gp substrate and you find that compared to the whole

9 clinical PK of your compound, and I mean P-gp is a

10 determinant of function in your elimination, if you do like

11 attach the study, I'm sure besides the systemic change, you

12 may see some -- you may not measure the brain level, but if

13 your just therapeutic will do -- it's not that wild. You

14 might see some side effects in the CNS, just like.

15 CHAIRMAN VENITZ: I don't disagree with you. I'm just

16 saying that once you go down that route, then you want to do

17 a prospective study. What's your end point?

18 DR. THANG: Your end point will be from our

19 perspective if she have this too for monitoring some, you

20 know, safety profiles. You will see if it's very

21 significant.

22 DR. HUANG: Right now, the primary import is PK.

0328

1 CHAIRMAN VENITZ: All right.

2 DR. HUANG: It's pharmaco PK parameters.

3 CHAIRMAN VENITZ: And then you didn't answer my first

4 question. How would this flowchart and all the other

5 flowcharts map to this?

6 DR. HUANG: When say mapping, you mean the time of the

7 study? Tied together to explain the results? This would be

8 just like right now we're looking at pair -- drug pair

9 interactions so the implications for the labeling right now

10 would be a single pair -- this drug's effect with one

11 inhibitor. And hopefully, we'll develop or we understand

12 more what is the outcome of multiple inhibitor effect, but

13 where we can integrate them altogether.

14 But right now, for CYP, we will evaluate all major

15 CYPs, so we'll understand the effect, whether they're

16 substrate or inhibitors. I think the results really will

17 help when we evaluate whether they're substrate or an

18 inhibitor or inducers for that matter for this new drug.

19 Then you can eliminate where you see an interaction, and

20 where you can select your substrate or inhibitor

21 appropriately based on what you know about CYP.

22 CHAIRMAN VENITZ: So the idea would be if you go down

0329

1 this chart for, let's say, B4 to B6 and AGB inhibitor

2 interaction studies would be --

3 DR. HUANG: Yeah. Right now, for each major CYPs, we

4 do follow that route.

5 CHAIRMAN VENITZ: Why?

6 DR. HUANG: Everyone. Yes.

7 CHAIRMAN VENITZ: Any other comments by the Committee?

8 I'm not sure whether I can summarize the Committee's

9 opinion.

10 DR. GIACOMINI: One more. I mean I like this decision

11 tree except I agree with Jurgen that when we get to the

12 point of what in vivo interactions that's going to be a

13 question that there's, you know, which one do I choose from

14 your menu.

15 So you may want to consider refining, you know, giving

16 some advice on what might be appropriate to use as your

17 interacting substance, and it might have to do with whether  
18 your compound is metabolized, which enzyme it's metabolized  
19 by, so you could put a little bit more refinement there  
20 instead of just do an interaction study.

21 And also maybe even if your compound has CNS effects.  
22 I don't know if you should think about things like that --

0330

1 whether it has CNS effects and you're thinking about  
2 interactions in the blood brain barrier. Here is what you  
3 might also be looking for.

4 And then I think at a later date -- again, I'm for  
5 implementing both this one and the other one and gathering  
6 information which should go to refining that guidance would  
7 be important.

8 DR. BARRETT: Yeah, I think the difficulty with the  
9 question is really just to see the application of the  
10 decision tree, and you know as the discussion went on this  
11 afternoon, there's a certainty on one side of the decision  
12 tree and maybe not on the other side for both of them. And  
13 that's okay. I think I -- you know in terms of the spirit  
14 of what this is intended to do, I think we're probably all  
15 of the same mindset as far as that goes.

16 It's really that the decision tree has to be explained  
17 in the context of how you position it in the rest of the  
18 guidance and explain exactly what Jurgen and others have  
19 commented on in terms of the actual conduct of some of these  
20 steps; that the details associated with getting further down  
21 the pathway. So I think that's really where the rub lies as  
22 far as, you know, comfort in this is that you can't just

0331

1 look at this in the context of how to perform it relative to  
2 all of the other text that would go around it explaining how  
3 to really apply it.

4 So I'm in favor of collecting the information and  
5 getting, you know, getting started on that. I think it's a  
6 question of putting the right caveats on this decision tree  
7 so that we're aware I think of the application, and again it  
8 comes back to what I think Dr. Greenblatt left us with:  
9 it's really the labeling that's to be concerned. It has  
10 nothing to do with the guidance. It's really what this  
11 manifests in terms of labeling. That's the only issue in my  
12 book.

13 DR. WATKINS: Just one comment. If you get to the --  
14 you know things obviously being transported, but a specific  
15 P-gp inhibitor doesn't change that, and it says further in  
16 vivo to determine what she wants transporters, and I guess  
17 it could be further in vitro, too; right? I mean there  
18 would be no reason necessarily to go right in vivo, and that  
19 might -- to figure out what transporter is involved.

20 DR. HUANG: Well, that's really two questions.

21 CHAIRMAN VENITZ: Okay. Any more comments? I think  
22 you have the support by the Committee for this flowchart

0332

1 with all the strings attached.

2 Okay. Let's move on to question three, which I think  
3 we already answered to some extent.

4 DR. HUANG: This is related to the left-hand side of

5 the previous chart; that if you -- what you see is P-gp  
6 substrate, and in our guidance we have -- we said that  
7 shouldn't evaluate it in vivo based on some of the  
8 inhibitors that we have listed here -- Retonavir,  
9 Cyclosporine, and Verapamil -- whether this stays.

10 CHAIRMAN VENITZ: Okay. Does the Committee need to  
11 add anything to what we've already said?

12 DR. HUANG: So the 3A is the same.

13 CHAIRMAN VENITZ: Right.

14 DR. HUANG: Okay. So number four is -- can be related  
15 to the right-hand side of the second decision tree, although  
16 we can modify that to the in vitro. So does the current  
17 knowledge base for the recommendation of drug interaction  
18 studies for other transporters such as OATP1B1, MRP-2. We  
19 didn't really discuss BCRP. In other words, we touched  
20 around it. OCT and OATs. You know we could expand on the  
21 decision two, on the right-hand side.

22 It's not a P-gp substrate, but there's an efflux

0333

1 difference. Although the decision tree only discussed as a  
2 substrate, but we do not discuss the drug as an inhibitor,  
3 and when we posed this question, we tend to ask whether to  
4 evaluate -- you're looking it as a substrate or an inhibitor  
5 of these transmitters.

6 If in vitro will -- can do -- can't give us enough  
7 information, for example, to give proper labeling as the  
8 most important end point.

9 DR. GREENBLATT: Are you suggesting that basically you  
10 do -- if you go down this route, are you suggesting that you  
11 initially do your in vitro homework so to speak to get some  
12 idea of what needs to be done in vivo?

13 DR. HUANG: Yes. Our guidance is recommending that,  
14 which is start with in vitro and then based on in vitro or  
15 come to determine whether to do in vivo. We've recommended  
16 that for that of the P-gp and we also recommend that for  
17 other transporters.

18 DR. WATKINS: So I think the question is at this point  
19 should you have the same decision trees as, you know, as you  
20 do for P-gp, and I think we heard no just in terms of having  
21 the cell systems and sort of the accepted knowledge base.

22 But on the other hand, the percent of OATP1B1

0334

1 interactions studies that have been positive as defines 20  
2 percent increase was the same as P-gp. And I know just of  
3 examples in industry where a Cyclosporine interaction study  
4 was done to see whether the new molecular entity would  
5 interfere with Cyclosporine and then, in fact, found just  
6 the opposite: that Cyclosporine had -- and then led to a  
7 series of discoveries with OATP1B1.

8 So that's why I kind of like the idea of Cyclosporine  
9 being the P-gp inhibitor, because it's not specific. And if  
10 you see a large interaction, then it might lead you down the  
11 path, but it's a little bit of an aside.

12 But I think the answer is at least from what I've  
13 heard is the knowledge base and the technology is just not  
14 there yet. But it's the next transporter probably.

15 DR. HUANG: Are you commenting on OATP1B1

16 specifically?

17 DR. WATKINS: OATP1B1 specifically.

18 DR. HUANG: Oh, okay.

19 DR. WATKINS: Not the others.

20 DR. HUANG: Not the others; yeah.

21 DR. THANG: I just have one correction. In our  
22 original, in our guidance actually it says further in vitro

0335

1 studies in the decision tree to determine whether other  
2 inhibitions.

3 CHAIRMAN VENITZ: But we've got to question four?

4 DR. THANG: Question four, the first decision tree,  
5 because they didn't -- somebody asked Shiew-Mei whether  
6 that's --

7 DR. HUANG: My -- it's in error.

8 CHAIRMAN VENITZ: Any other comments?

9 DR. GIACOMINI: I think I'm on the record as saying  
10 that I think there are compelling data for the OCTs as in  
11 the kidney. The magnitude of those interactions are not  
12 enormous, but I've shown that there is compelling data for  
13 those interactions, and OATP1B1, as I said, it's the next.  
14 There is good data on the interactions and unlike  
15 Cyclosporine also.

16 DR. HUANG: So are there others besides Cyclosporine  
17 that you recommend as a general defense order? Because I  
18 think we're going beyond this question of general inhibitor.

19

20 DR. GIACOMINI: You mean like a Gemfibrozole of  
21 something like that. You know Gemfibrozole is pretty good,  
22 but again it's more specific for OATP1B1 than Cyclosporine

0336

1 and P-gp as well.

2 CHAIRMAN VENITZ: You could argue going outside the  
3 scope of this guidance, you know the guidance is driven by  
4 in vitro mechanistic findings that you try to confirm or  
5 disprove. Now, you're saying well, let's use a non-specific  
6 inhibitor that you shot gun and see what happens?

7 DR. HUANG: Well, the reason is because we don't have  
8 any specific inhibitors for P-gp, and that's why I wanted  
9 right now that we put in Cyclosporine.

10 CHAIRMAN VENITZ: Well, then, I think we had in the  
11 previous discussion we had said as long you consider what  
12 else is going on with the drug in terms of the importance of  
13 these things, the choice of the in vivo P-gp inhibitor, even  
14 if it's a dirty one, should be selected in a way that it's  
15 possible -- it's as selective as possible.

16 DR. HUANG: Okay.

17 CHAIRMAN VENITZ: I think that's.

18 In response to question four, I'm very much with Kathy  
19 on Probenecid, Cimetidine. I mean interaction studies have  
20 been going on for a long time, and we have experience on it.

21 But obviously if we can use an in vitro to kind of screen  
22 whether you should do studies such as this; yeah,

0337

1 absolutely.

2 The other transporters, I don't think we're there yet  
3 in terms of recommending drug interaction studies.

4 DR. HUANG: So your opinion would be OCT and OAT?  
5 CHAIRMAN VENITZ: And -- okay. If the drug is renally  
6 cleared or it's an important component, then a Cimetidine,  
7 Probenecid interaction study should be done, which is  
8 already taken place anyways.  
9 DR. LESKO: Presumably, that's renal clearance and  
10 then involves a transporter?  
11 CHAIRMAN VENITZ: Secretion.  
12 DR. LESKO: Yes, secretion. You have to have  
13 secretion.  
14 CHAIRMAN VENITZ: Yes, yes.  
15 DR. HUANG: Yeah, the example that I've shown --  
16 there's several compounds that have renal clearances like  
17 three-fold or four-fold, GS1 and --  
18 CHAIRMAN VENITZ: Yes.  
19 DR. HUANG: -- this comment actually -- the earlier  
20 study they just came up with very general Probenecid and  
21 Cimetidine interaction studies, but the reason for the  
22 specific transporters.

0338

1 CHAIRMAN VENITZ: And I would be in favor of that.  
2 DR. HUANG: The latter?  
3 CHAIRMAN VENITZ: Yeah. Any other comments,  
4 suggestions?  
5 Okay. I think you successfully knocked us out. I  
6 turn the microphone over to Larry, who's going to put us to  
7 sleep; right? But us to bed, I should say.  
8 DR. LESKO: Yeah. He didn't say get to the podium and  
9 show your next slide set. I think I'm beginning to feel  
10 like Dennis the Menace and my colleague, Bill Jusko. I  
11 think we've had a long day and a very productive day. I  
12 want to express my thanks on behalf of FDA to the Committee.  
13 It's been very helpful to us. And I think the questions  
14 have been really well addressed and other issues have been  
15 raised that we need to think about. So thank you and have a  
16 good evening.  
17 CHAIRMAN VENITZ: Okay. Then thank you, everyone, and  
18 we adjourn and get together again tomorrow at 8:30 a.m.  
19 Thank you.  
20 [Whereupon, at 5:15 p.m., the Committee stood in  
21 recess until 8:30 a.m. the following day.]  
22