

TRANSCRIPT OF PROCEEDINGS

IN THE MATTER OF:)
)
Public Meeting on Asbestos)
)

Pages: 1 through 206
Place: Charlottesville, VA
Date: June 20, 2002

HERITAGE REPORTING CORPORATION

Official Reporters
1220 L Street, N.W., Suite 600
Washington, D.C. 20005-4018
(202) 628-4888
hrc@concentric.net

MINE SAFETY AND HEALTH ADMINISTRATION
ASBESTOS HEARING PANEL

IN THE MATTER OF:)
)
Public Meeting on Asbestos)
)

Holiday Inn
1901 Emmet St.
Charlottesville, Virginia

Thursday,
June 20, 2002

The parties met, pursuant to the notice, at
9 a.m.

APPEARANCES:
REBECCA SMITH, DEPUTY DIRECTOR
DAVE LAURISKI, ASSISTANT SECRETARY
DR. CAROL JONES, PROGRAM MANAGER
JIM LYNCH, OFFICE OF STANDARDS
AL DUCHARME, SOLICITOR'S OFFICE
CARLOS MOSLEY, COAL ORGANIZATION
SHARON AINSWORTH, TECHNICAL SUPPORT
DEBRA JANES, OFFICE OF STANDARDS

Heritage Reporting Corporation
(202) 628-4888

1 P R O C E E D I N G S

2 MS. SMITH: Good morning. My name is
3 Rebecca Smith. I'm the Deputy Director of
4 the Office of Standards, Regulations and
5 Variances for the Mine Safety and Health
6 Administration. On behalf of Dave Lauriski,
7 who is our Assistant Secretary of Labor for
8 Mine Safety and Health, I welcome you this
9 morning to this public meeting.

10 With me also this morning are several
11 other individuals from Mine Safety and
12 Health. On my immediate left, Dr. Carol
13 Jones, who is our program manager for our
14 metal/non-metal program; Jim Lynch, who is
15 from our Office of Standards in Arlington; Al
16 Ducharme, who is from our Solicitor's Office
17 in Arlington, Virginia. On my right is
18 Carlos Mosley, who is from our Coal
19 Organization; Sharon Ainsworth, who is from
20 our Technical Support Organization; Debra
21 Janes is from our Office of Standards also.

22 This is the seventh and last of seven
23 public meetings that we have held on this
24 issue. The previous meetings were held in
25 Pittsburgh, Pennsylvania; Spokane, Washington;

Heritage Reporting Corporation
(202) 628-4888

1 Vacaville, California; Canton, New York;
2 Phoenix, Arizona; and Virginia, Minnesota.

3 The initial announcement of these
4 public meetings was contained in the Advance
5 Notice of Proposed Rulemaking published on
6 March 29th, 2002 in the "Federal Register."
7 A subsequent "Federal Register" notice,
8 published on April 18th, announced that the
9 date of the Charlottesville, Virginia meeting
10 was changed to June the 20th, and a public
11 meeting would also be held in Phoenix,
12 Arizona on June 5th. These two "Federal
13 Register" notices are available to you in the
14 back of the room.

15 The purpose of these meetings is to
16 obtain information from the public that will
17 help us evaluate the following five issues:
18 (1) whether to lower our asbestos permissible
19 exposure limit; (2) whether we should replace
20 our existing fiber analysis method, referred
21 to as phase contrast microscopy, with a more
22 sensitive method which is known as
23 transmission electron microscopy; (3) whether
24 we should implement safeguards to limit
25 take-home exposure; (4) whether our field

1 sampling methods are adequate, and how our
2 sampling results are being used; (5) what is
3 the likely benefit and cost impact of any
4 rulemaking action we would take on these five
5 issues.

6 These five issues were discussed in the
7 March 29th Federal Register document. The
8 scope of the issues we're addressing with
9 this Advanced Notice of Proposed Rulemaking
10 is limited; therefore, this public meeting
11 will be limited to hearing public input on
12 these five issues I just mentioned.

13 In the Advanced Notice of Proposed
14 Rulemaking we were asked -- we asked
15 questions relating to each of these five
16 issues. We're particularly interested in
17 responsive information related to these
18 questions.

19 Now, I'd like to give you some
20 background which has led us to be here today.
21 In 1980, we requested that the National
22 Institute for Occupational Safety and
23 Health -- NIOSH -- investigate health
24 problems at vermiculite operations around the
25 country because our sampling data at that

1 time showed higher than average asbestos
2 exposures among the miners. The results of
3 the NIOSH study were published in 1986, and
4 verified our sampling results that indicated
5 high occupational exposure prior to 1974 at a
6 vermiculite operation in Libby, Montana. The
7 highest exposures were in the mill. The
8 NIOSH report showed that in 1974 the mine
9 began to use a wet process to concentrate
10 vermiculite in the mill, and occupational
11 exposures dropped markedly. The
12 asbestos-exposed miners employed at the
13 vermiculite mine in Libby, however,
14 inadvertently carried the asbestos fibers
15 home on their clothes and in their personal
16 vehicles, thereby continuing to expose
17 themselves and family members. At that time
18 we encouraged the operators to change from
19 dry to wet processing material, and also to
20 reduce take-home contamination by installing
21 showers, and requiring the miners to change
22 clothing before leaving the site.

23 In November of 1999, a Seattle
24 newspaper published a series of articles
25 about the unusually high incidence rate of

1 asbestos-related illnesses and fatalities
2 among individuals who had lived in Libby,
3 Montana. Because MSHA had jurisdiction over
4 the mine, the Department of Labor's Office of
5 the Inspector General began an evaluation of
6 MSHA's role at the Libby mine.

7 The findings and recommendations of the
8 Office of the Inspector General were
9 published in March 2001. Three of the
10 recommendations would require additional
11 rulemaking by MSHA. And those issues are the
12 subject of this public meeting today. The
13 Office of Inspector General recommendations
14 were: (1) that MSHA lower the existing
15 permissible exposure limit to a more
16 protective level; (2) that MSHA use a more
17 sensitive method, transmission electron
18 microscopy, to quantify and identify fibers
19 in our samples, rather than the phase
20 contrast microscopy method currently used;
21 and (3) that MSHA address take-home
22 contamination from asbestos. As you know,
23 our current asbestos standards for coal
24 mining and for metal and non-metal mining is
25 two fibers per cubic centimeters of air. And

1 these standards have been in place from the
2 mid 1970s. Recently, MSHA adopted new
3 asbestos sampling techniques, and we have
4 increased the scope of sampling for airborne
5 asbestos fibers at mines in an attempt to
6 better determine miners' exposure levels to
7 asbestos. Our efforts have included taking
8 samples at all existing vermiculite,
9 taconite, talc, and other mines to determine
10 whether asbestos is present, and at what
11 levels. Since the spring of 2000, we have
12 taken almost 900 samples at more than 40
13 operations employing more than 4,000 miners.
14 Our preliminary review and analysis of these
15 samples show very few exposures occurred
16 during the sampling period which were above
17 the OSHA eight-hour time-weighted average of
18 point 1 fiber per cubic centimeter of air.
19 Our sampling results are now available to the
20 public on our web site at www.msha.gov.
21 Also, the sampling results will be made part
22 of the rulemaking record if we move forward
23 with rulemaking.

24 The issues surrounding asbestos
25 exposure are important to MSHA, and we will

Heritage Reporting Corporation
(202) 628-4888

1 use the information provided to us at these
2 public meetings to help us decide how to best
3 proceed with these five issues. So we want
4 to hear public view. These public meetings
5 will give mine operators, miners and their
6 representatives and other interested parties
7 an opportunity to present their views on
8 these five issues that we are considering for
9 potential rulemaking action.

10 The format of this public meeting will
11 be as follows: Formal rules of evidence will
12 not apply, and this meeting will be conducted
13 in an informal manner. Those of you who have
14 notified us in advance of your intent to
15 speak, or have signed up today will make your
16 presentations first, unless there is an
17 arrangement to the contrary. After all
18 scheduled speakers have finished, others are
19 free to speak. When the last speaker has
20 finished, then we will conclude this public
21 meeting. If you wish to present any written
22 statements or information today, please
23 clearly identify your material. When you
24 give it to me, I will identify the material
25 for the record by the title as you have

Heritage Reporting Corporation
(202) 628-4888

1 submitted it. You may also submit comments
2 following this meeting, but please submit
3 them by June 27th, which is the close of the
4 comment period. Comments may be submitted to
5 us by electronic mail, fax, or regular mail.
6 But please note that the MSHA headquarters
7 office has moved. The address is different
8 than the "Federal Register" notice you picked
9 up in the back. But in the back of the room
10 there is a document that shows our new
11 address, fax, electronic address, et cetera.

12 A verbatim transcript of this meeting
13 will be available upon request. If you want
14 a personal copy of this transcript, please
15 make arrangements with the court reporter, or
16 you may view it on our web site. It will be
17 there and available within five days from
18 today.

19 The procedures have been the same for
20 each of these seven public meetings. We will
21 begin with persons who have requested to
22 speak. To ensure that we get an accurate
23 record when you speak, please give your name,
24 spell your name and the organization.

25 Our first speaker this morning is

Heritage Reporting Corporation
(202) 628-4888

1 Mr. Stephen Lucas. Good morning, Mr. Lucas.

2 MR. LUCAS: Good morning. Thank you,

3 Mrs. Smith. Thank you, ladies and gentlemen.

4 My name is Stephen Lucas, S-T-E-P-H-E-N,

5 Lucas, L-U-C-A-S.

6 I'm a farmer. And I'm a fairly --

7 almost a neighbor of the Virginia Vermiculite

8 plant in Louisa County not too far east of

9 here. And I come here -- I kind of hoped to

10 give a personal -- a different kind of view

11 from the -- I know it's a lot of agency folks

12 and a lot of commercial folks. And I hope to

13 give a little personal information. I'm also

14 a member of Historic Green Springs, an

15 organization of owners of land adjacent to

16 the mining area. My wife's farm has been --

17 my wife has farmed the land near the mine

18 since 1959. So it's been awhile.

19 And when the information came out about

20 Libby -- and, you know, there's volumes of

21 information from the "New York Times," from

22 all these places I'm sure you're aware of --

23 it scared her to death. Her parents both

24 died -- both her parents and her grandmother

25 all died of lung cancer within about three

1 years of each other -- not because of
2 vermiculite, but because of smoking. But
3 just the thought of lung cancer and the
4 things that are in those reports scared her
5 to death. And it scares me some, too. And
6 it scares me because of my neighbors and
7 friends who work at the plant, or near the
8 plant -- have worked at or near the plant.
9 Folks come through town in their pickup
10 trucks, dusty clothes. I see them on the
11 street, at soccer games, baseball games,
12 Little League games. We see them. They
13 bring the vermiculite dust with them.

14 And so, you know, I look -- to address
15 the issues of the five that you listed, I say
16 I want to know where the question is. If
17 we're really trying to save the public from
18 what happened in Libby, if we're really
19 trying to do the right thing, these issues
20 that you bring forth don't seem all that
21 difficult. Lowering asbestos limits, why
22 not? We have the technology to do it. Why
23 is there a question if should do it. The
24 fiber analysis method, shouldn't we be using
25 the best available scientific -- there is the

1 sound science argument that comes up so much
2 in politics today. What better thing of
3 sound science is there than to use the best
4 available information? I talked about the
5 take home. I talked about some of these
6 other things.

7 I just want to briefly say that folks,
8 I thank you for coming out here and hearing
9 what we have to say. All I ask for you to do
10 is do the right thing. Help prevent Louisa
11 County and these other places from becoming
12 the next Libby. Like I said, it scares us to
13 death of the potential it could happen. I
14 just want to thank you so much for hearing
15 us, and just ask you to do the right thing.
16 Thank you so much.

17 MS. SMITH: Thank you, Mr. Lucas. We
18 appreciate your comments.

19 Do the panel members have any question
20 of Mr. Lucas? Thank you very much for
21 coming.

22 MR. LUCAS: Thank you very much.

23 MS. SMITH: Our second speaker is
24 Donald Gazaille. I probably didn't do that
25 right.

1 MR. GAZAILLE: You came closer than
2 most. My name is Donald Gazaille,
3 G-A-Z-A-I-L-L-E. And I'm from Trevilians,
4 Virginia. And I'm on the immediate side of
5 the Virginia Vermiculite mine directly across
6 the street. And I appreciate the opportunity
7 to present my views on asbestos exposure
8 related to mining operations.

9 I am particularly concerned about a
10 statement contained in MSHA's March 29, 2002
11 "Federal Register" notice on page 15137. It
12 says, MSHA's recent field data show that none
13 of the samples collected exceeded OSHA's
14 eight-hour time-weighted average of 0.15 per
15 centimeter of air when analyzed using the TEM
16 method. Considering the low fiber levels
17 observed, what would be an appropriate agency
18 action?

19 First, I think it is important to
20 acknowledge that MSHA only conducts
21 inspections once or twice per year at a mine.

22 Secondly, when the inspector is doing
23 inspection work, working conditions are
24 probably not the same as a typical day at the
25 mine. I suspect when the inspector is

Heritage Reporting Corporation
(202) 628-4888

1 present, the mine is in good condition.
2 Therefore, I don't think it's appropriate for
3 MSHA to draw conclusions based on a limited
4 number of unrepresentative samples.

5 MSHA says none of the samples collected
6 exceeded OSHA's standard. I'm suggesting
7 that these samples are not a good indicator
8 of fiber levels at the mine. MSHA should not
9 draw conclusions from the results of a
10 handful of samples when a mine operates 300
11 to 600 shifts each year.

12 If MSHA really wants to know what the
13 typical conditions are at a mine or group of
14 mines, it should target these mines the full
15 scale propaganda for several weeks at a time
16 every couple of months.

17 We live on the off-site. Contamination
18 is a concern of ours from MSHA, not only from
19 its employees leaving the mine with
20 contaminated areas, but the transportation of
21 materials off the mine, and the loading of
22 the materials -- the raw materials -- at the
23 site. If you were to ever to go up and down
24 Route 22 and across right along our driveway
25 and across from our house is where the trucks

1 haul this material out of there, and see the
2 gray trees on both sides of the road, and the
3 gray all over the grass, you'll know where
4 the contamination is going.

5 We're very concerned. It's totally
6 inadequate. It doesn't take a lot of fibers
7 to kill someone. The standard should be
8 extremely high, and make us feel at least a
9 little more comfortable that we can still
10 live in the area. Thank you for your
11 consideration. And we hope you'll keep the
12 immediate public in mind. Thank you.

13 MS. SMITH: Thank you, Mr. Gazaille.
14 Panel members? Thank you very much for
15 coming.

16 Our next speaker is John Stamberg.
17 Mr. Stamberg has admitted for the record a
18 document entitled, "Testimony pursuant to
19 Mine Safety and Health Administration on
20 Advance Notice of Proposed Rulemaking for
21 Measuring and Controlling Asbestos Exposure,
22 June 20, 2002."

23 MR. STAMBERG: My name is John
24 Stamberg. You have the report that I am
25 submitting to the record.

Heritage Reporting Corporation
(202) 628-4888

1 Basically, a little bit about my
2 background: I'm a civil engineer for the
3 University of Maryland with a master's degree
4 from Stamford. I've been in the asbestos
5 business -- in the vermiculite business --
6 for over 40 years. I've taken courses in
7 asbestos, asbestos abatement management and
8 identification at Drexel, Tufts, Georgia
9 Tech, Medical College of Virginia, Virginia
10 Commonwealth University, and others. My
11 relevant experience is heavily in the
12 commercial retail inspection and abatement of
13 asbestos under EPA and OSHA regulations.
14 I've worked in 35 states, Canada. And I'm
15 also familiar with the vermiculite industry
16 as far as mines, expander operations, soil
17 mixtures, that type of facilities. I've been
18 active in 21 states with respect to
19 vermiculite. I've also examined ores from
20 five different states, evaluated the
21 different vermiculites from them, as well as
22 several different foreign countries.

23 I'm here on behalf of Virginia
24 Vermiculite, and I've got a number of points
25 I want to make.

Heritage Reporting Corporation
(202) 628-4888

1 The first point -- and I'll be brief --
2 is the three points that you choose to
3 regulate to lower the permissible exposure
4 level to .1 fiber per cc, the use of TEM
5 versus PCM, and the control of take home are
6 just three elements of what should be a full
7 program similar to EPA and OSHA.

8 What defines a lot of the elements?
9 Some of the things that are not clear from
10 these: One is you've got to be properly
11 ready to identify what really is asbestos.
12 This isn't a problem when you have
13 commercially-made asbestos products like you
14 encounter in OSHA and EPA. They usually mix
15 good grade commercial asbestos with granular
16 or non-asbestos material. And the assumption
17 that any fiber is asbestos is reasonable in
18 that kind of context -- that kind of
19 mixture -- in natural soils that breaks down.
20 So the level that they're interested in is a
21 much different level than we're talking here.
22 So MSHA should fully address in their
23 regulations specific methodologies geared to
24 mining, earth products, rock products that
25 can clearly identify asbestos-containing

1 materials. And in their tests they should
2 have procedures and nomenclatures that
3 clearly identify what the tests mean -- not
4 just 3:1 and then assume it's asbestos, as
5 you do with commercial products that only
6 have tar and asbestos, or vinyl asbestos, or
7 ingredients that are clearly identified in
8 concentrations that are easy to identify.

9 Air sampling, it's the same issue there
10 where in the air testing the assumption is
11 that these particles in commercial building
12 products are asbestos. Reasonable for that
13 industry; not reasonable for this. So that
14 assumption that the air test anything 3:1 is
15 asbestos is not correct. I'll go into that a
16 little bit later.

17 Then the other thing, the program
18 should have some focus or targeting of where
19 you should do the analysis. OSHA has
20 procedures for negative exposures, use of
21 objective data in other ways to aid or help
22 target the extent, type and place for air
23 monitoring; in other words, in places where
24 there is no asbestos, you don't have to do
25 elaborate monitoring.

1 So this is what I suggest for an
2 overall program.

3 Going to the second point: One is that
4 Virginia Vermiculite has been under the
5 scrutiny and under the concern of asbestos
6 since 1976 before they were mining in the
7 public hearings and zoning. This has
8 continually been a source of discussion. And
9 it really stems from the Libby situation.
10 And right now I'd like to just take a few
11 minutes to do a one-on-one on geology.

12 EPA Region 10 with their studies from
13 the Montana Bureau of Mines and Geology
14 classified vermiculite. And vermiculite is
15 not a single thing; it's a group of things
16 with different origins, different
17 chemistries. They classify vermiculite by
18 three types. Type one is the type in Libby.
19 Type two is often found in North Carolina and
20 some other places. And the Louisa deposit is
21 one of the ones that is type three.

22 If you look at my Figure 1, I've got
23 the EPA report references, as well as the
24 source rocks, the rock that mixed with it to
25 form a primary sheet silicate that weathers

1 under moisture and then becomes either
2 vermiculite, hydrobiotite or
3 hydrophologopite. These are different
4 subvarieties of vermiculite with different
5 origins, different chemistries.

6 Then not only is the origin of the
7 rock, chemical makeup, and the way it was
8 metamorphized or altered are different, the
9 temperature and pressure of formation makes a
10 difference. My Figure 2 addresses that.
11 These changed silicates, depending on the
12 temperature and pressure can be diopside,
13 quartz, or one of the many varieties of
14 crystalline tremolite. Crystalline formation
15 can be any of a number of things. It can be
16 anywhere from massive, isolith or fibers
17 tremolite. So there's a number of things.
18 Also, the same deposit you can get quartz,
19 diopside and these type of minerals. And it
20 depends on the temperature and pressure.

21 What exists at Virginia Vermiculite is
22 recently they've got into a situation not
23 with the main deposit, but where the two
24 rocks were twisting, turning and folding on
25 each other. They have slip sheets. In those

1 slip sheets, which are about a 16th of an
2 inch to maybe a little bit over an inch, the
3 temperature and pressure in that slip sheet
4 has created veinlets that have every one of
5 these chemistries in there. There are some
6 small areas where there is true asbestos, as
7 well as bysolite, massive tremolites and
8 quartz. And this stuff you can get right off
9 the edge of it, and that veinlet doesn't
10 exist. You get in the veinlet, and the
11 chemistry can vary by the foot. It just
12 depends on the local temperature and
13 formation.

14 So what Virginia Vermiculite has done
15 is tested -- what they do is there's the
16 MSHA's bulletin P00-3 where it says how to
17 isolate and not over mine this stuff.
18 Virginia Vermiculite follows that. Then the
19 material that they take and mine and send to
20 the process, and their final product they
21 test -- the United Kingdom has banned
22 asbestos. They have developed a test that's
23 100 to 1,000 times more accurate than the
24 U.S. tests for bulk material because of their
25 ban. This material -- we send these

1 materials that are mined and sent to the
2 process plant as well as the product on a
3 routine basis to this more accurate test,
4 which is good to about 10 parts per million.
5 We have those results in Appendix 1. And we
6 have been doing that ever since the third
7 quarter of 2000 to make sure we get the
8 accurate, most up-to-date test on that.

9 So what we find is that the test for
10 country that has banned asbestos finds no
11 detectable asbestos. And those are attached
12 in there, and support a lot of the other
13 tests that have been done.

14 In doing this program, Virginia
15 Vermiculite has done several things. One is
16 OSHA has a concept called a competent person
17 that is trained to identify, locate and
18 understand asbestos. They brought an
19 international expert in, in both vermiculite
20 an asbestos, and trained the miners,
21 engineers, supervisors to identify this or
22 anything that is suspect to that. So they
23 developed competent people. These competent
24 people then flagged the material. And it's
25 either not mined or isolated per P00-3, the

1 MSHA criteria.

2 Recently, the MSHA people came in and
3 inspected. They took three samples of this
4 flag isolated material. And one of the three
5 samples tested positive for asbestos, two
6 were not. So it's an indication that people
7 at VVL who are competent or were trained this
8 way are well trained and err to the cautious
9 side.

10 Okay. Another thing that Virginia
11 Vermiculite has adopted from OSHA is the
12 negative exposure assessment. So when they
13 were doing the mining and isolating of the
14 veinlets that were suspect material, they had
15 an EPA OSHA licensed certified testing firm
16 come in and see if there was any exposure
17 over the .1 during the movement of that
18 material. There was not.

19 Then, also during that procedure, the
20 same consultant measured upwind, downwind and
21 across the property to see if anything was
22 going across property lines. And they found
23 nothing but background levels of dirt and
24 material.

25 The EPA came down and tested seven

1 homes for asbestos; in other words, the
2 consultants was a snapshot during an activity
3 that had concern. And EPA going to the homes
4 and collecting dust in and around the homes
5 is more a result of long-term accumulations.
6 They found no asbestos in those seven homes
7 that they tested.

8 VVL has also done a couple of things
9 that are not required of it, even if they
10 were under OSHA. They sent all their
11 employees -- except one refused -- to the
12 University of Virginia Division of Pulmonary
13 and Critical Care Medicine for the OSHA-style
14 respiratory x-ray series of medicals. And
15 the conclusion was that there were no
16 Virginia Vermiculite-related occupational
17 issues. The whole details of the medical
18 exam and other details are not privy to us,
19 but we do get this -- or, you know, Virginia
20 Vermiculite did get the letter that there was
21 no occupational-related health effects.

22 They've also purchased a HEPA vacuum
23 cleaning system that can vacuum the clothes.
24 And that's a high efficiency particulate air
25 systems that filters out 99.9 plus percent of

1 asbestos fibers used in the asbestos
2 abatement industry. They have this to be
3 available for cleaning clothes if the people
4 want to do that. They find it's also useful
5 for cleaning up the labs and some dusty areas
6 around the office. It's just a cleaning
7 tool.

8 So they have those things whether they
9 need it or not. They've gone ahead and
10 pursued those.

11 Next, I'd like to take a couple of
12 minutes to talk about the bulk testing. I've
13 touched on that. The accuracy of the U.S.
14 test 600/R-93/116 is 1 percent. It's
15 accurate, and it can detect things down to .1
16 percent. This is 10,000 parts per million,
17 or 1,000 parts per million is its stated
18 accuracy for ability to notice. The U.K.
19 system, which is MDHS77, is accurate to
20 .001 percent, or 10 parts per million. And
21 that's the test that's in Appendix 1 that
22 they have been doing.

23 Air tests: The PLM test is
24 schizophrenic. Or PCM test is very different
25 in results, because under the OSHA procedure

1 you can do differential counting. So if you
2 see a spider's leg, an obvious fiberglass
3 particle, vegetable particle, the
4 microscopist at its discretion or its lab
5 procedures can eliminate those from the
6 count. This procedure is really inaccurate
7 when you have soil and rock particles that --
8 many of which are 3:1 in the visible range,
9 and are not asbestos.

10 So this differential counting of 3:1
11 and reporting it asbestos may be good for
12 OSHA when you're abating fireproofing in a
13 building when that's the only particle of
14 that size, shape or configuration. That
15 definition includes many, many different
16 types and chemistries of particles. So
17 that's using PCM as a screening tool.

18 And going to something more accurate,
19 TEM can eliminate some of the particles
20 because it has higher resolution. You can
21 see cleavage fragments, arrowheads,
22 non-parallel things, platelets that are on
23 their side, and the whole variety of things.
24 In using private labs using just TEM, VVL has
25 found that 93 -- or 83 to 95 percent of the

1 particles are eliminated. So that 83 to
2 95 percent of the particles just with TEM can
3 be eliminated. Some of the MSHA count sheets
4 that we see eliminate only 30 to 70 percent.
5 Again, this is the accuracy difference, or
6 inaccuracy problems with differential
7 counting.

8 NIOSH 7402, which is the TEM procedure
9 that's most appropriate, states that the
10 presence of substance may warrant the use of
11 more powerful diffraction pattern, morphology
12 analysis before positive identification could
13 be made. So even with TEM you've still got
14 to go to other techniques to identify it
15 because these particles can have the same
16 chemistry.

17 To illustrate the mistakes that could
18 be made in this area, Dr. Chatfield of
19 Ontario Research reviewed the samples and
20 tests done by EPA in their garden products
21 containing vermiculite material. He found
22 that all but Libby were free from asbestos.
23 They had identified diopside, hornblende, and
24 clearly non-asbestos forming minerals as
25 asbestos incorrectly. They also, in that

1 counting procedure, ignored EPA's definition
2 of asbestos fibers. And 99.9 percent of the
3 fibers fell outside the clear EPA definition
4 without more powerful diffraction or
5 morphology kind of identifications.

6 So there's tons of mistakes that could
7 be made. And one of the things MSHA should
8 consider is narrowing the size range that
9 really fit asbestos in its definition of
10 fibers so these cleavage fragments, blades,
11 Acular arrowhead type of material is
12 eliminated. And it should develop
13 nomenclature that clearly identifies what the
14 test means, what does it represent. So any
15 time you see soils with a 3:1 aspect
16 ratio, it doesn't automatically get reported
17 as asbestos. So they need a lot of
18 improvement in that. In OSHA EPA, they
19 didn't need that.

20 Okay. So I'll go off of that point to
21 another point about take-home asbestos.
22 Again, my familiarity with OSHA, they have an
23 elaborate protective clothing clause, 29 CFR
24 1926.1101(i). It's in the report. When
25 there is a PEL or excursion exceedence, then

1 you fall under that, or if you do work of a
2 certain nature, their definition of asbestos
3 over 25 feet or 10 square feet for which you
4 haven't done this negative exposure
5 assessment, then they've got elaborate
6 procedures on launderer notification,
7 transport labeling inspection, and many
8 procedures in that. It's a good law. It's a
9 good regulation. And MSHA should consider
10 that as a pattern that evolved over the
11 years.

12 Going to another point on TEM versus
13 PCM, the 900 data points when we went to the
14 web site, only 178 of the 900 were reported.
15 Of that, only 24 were side-by-side phase
16 contrast versus TEM. Of that, 0 of the 24
17 side-by-side tests showed TEMs that were --
18 none of them were higher than the PCM. So
19 the PCM seems to be picking up everything
20 that has been presented. None of them --
21 there was no TEM, whether there was
22 violations or not, were higher. So by
23 circumstantial evidence, even though it's
24 very thin, there was no indication that TEM's
25 additional magnification is necessary.

1 In my chart -- or Figure Number 4,
2 there's an understanding why. The chrysotile
3 fibrils are suboptical, okay? But the fibers
4 are not because it's like a frayed rope or a
5 bundle of glass rods. To disseminate all
6 these fibers into individual fibrils is very
7 exacting, very difficult, and almost never
8 done. So the optical microscope,
9 particularly the amphiboles, can pick up and
10 see the problem. And so that was the case.
11 I mean, even at Libby the PCM test was
12 exacting for that.

13 So my comments are that the scientific
14 or circumstantial evidence to switch from PCM
15 to TEM is not there.

16 Also, MSHA -- and I've got the exact
17 quote in Figure 5 -- the long-term historical
18 epidemiology as a disease correlation has not
19 been with the small fibers. Potts, Stanton,
20 Lippman and those people indicate that the
21 particles have to be at least as a wavelength
22 of light to be health-oriented. So you would
23 have to establish that these smaller
24 particles, submicroscopic -- or sub
25 wavelength in diameter -- are a health

1 disease, which that has not been established.
2 And again, the only fibers that are going to
3 fall basically in that range is ultra-fine
4 amphiboles and the individual chrysotile
5 fibrils, which is extremely rare in
6 situations.

7 So again, PCM may be a screening tool
8 or a primary thing, and then you go to
9 differential counting. TEM is maybe just one
10 of the things that can be used. And there
11 could be many others.

12 With respect to health -- that's my
13 point six -- there is several things I want
14 to mention on that. There is a fairly recent
15 document put out by the Department of Health
16 and Human Services, "Toxicology Profile for
17 Asbestos Update." And that was in
18 September 2001. They use a different concept
19 than the EPA OSHA linear model where the --
20 they go directly proportionate to that.

21 The concepts here are no observable
22 adverse effect levels, less serious lowest
23 observable adverse health effects -- which
24 means significant dysfunction. Asbestosis
25 would be an example of that. Then it got

1 serious lowest observable adverse health
2 levels -- and those are the ones that attack
3 our biological system, cancer and things like
4 that.

5 So with those concepts, if you go to
6 Figure 6, I've taken the highest and lowest
7 value presented in that report, as well as
8 the median and the average. For the less
9 serious impact -- you know, significant
10 dysfunction -- at the .1 it would be 586
11 years to 380 years at the .1 exposure level
12 before you would get the less serious impact.
13 And then for the serious impact at the .1 you
14 would be in the 700 to 1,800 years.

15 So even though the .1 seems to be
16 achievable, it may not be based in health, in
17 which case the rationale for the .1 is
18 achievability as opposed to health. So I
19 think this study is something very worthwhile
20 to look at. And these are respiratory
21 illnesses.

22 As far as gastrointestinal, they
23 couldn't find animal studies to support that.
24 And Gamal and some of these other people have
25 not found the connection to gastrointestinal

1 or proved that. In fact, McDonald, in his
2 Exhibit 410.6 of the OSHA regulations
3 discussion, found that there was no excess
4 number of deaths from cancers of
5 non-respiratory sites at Libby. So if Libby
6 is one of the things that is creating
7 anxiety, the gastrointestinal side is not
8 there.

9 Then point seven: The reporting
10 accuracy of description testing procedures,
11 methods and what they mean, MSHA needs a lot
12 of improvement in that so things aren't taken
13 out of context.

14 And then the report you have. If
15 there's any questions, I'd be glad to respond
16 to them.

17 MS. AINSWORTH: Yeah, I have one
18 question, if you could clarify. Initially, I
19 thought you were saying that you thought PCM
20 wasn't the best analysis method because
21 differential kinds by different laboratories
22 produced different results. Then you said
23 the TEM was good, but you needed an
24 additional besides TEM diffraction work.

25 MR. STAMBERG: Yeah. Normally what's

1 done in EPA and OSHA is you do the total 3:1
2 count.

3 MS. AINSWORTH: Right.

4 MR. STAMBERG: If you assume that it's
5 all asbestos and you're fine, within
6 standards or clearance standards -- or
7 standards set by the hospital, school or
8 whatever -- then even with that assumption
9 they don't do further analysis. Oftentimes,
10 their first way of differential counting is
11 to go to TEM, which still does not
12 differentiate true asbestos from other
13 similar amphiboles with different crystalline
14 structures.

15 In the asbestos industry where you're
16 dealing with pure asbestos in products, you
17 really have to go beyond that. In mining,
18 you have a plethora of products and chemicals
19 and material that's in that 3:1 range that
20 you have to go to additional techniques by
21 infringement, extinction kind of things,
22 morphology, Addison in his things has
23 morphology characteristics of asbestos. He's
24 got five of those. If you meet three of
25 those, you can assume it's asbestos. So

1 those type of things are rarely used in EPA,
2 HERA, or OSHA work because of the nature of
3 the business.

4 Those type of differential countings
5 with probably very necessary in mining and
6 mining products -- rock, horn, and that type
7 of thing -- to truly get at the true asbestos
8 fibers.

9 MS. AINSWORTH: So you're suggesting
10 that, and not TEM?

11 MR. STAMBERG: But with PCM as a
12 primary tool. If need be, start differential
13 counting by TEM, more exacting methods by
14 fringement -- you know, additional optical,
15 chemical, x-ray diffraction techniques to
16 narrow down the particles to see whether
17 they're true asbestos or not.

18 True asbestos almost never occurs in
19 single individual fibers. It's created in
20 bundles. And when you have it, you have the
21 bundles, and you may have some chafe or
22 things that crack off the bundles, but you
23 have the bundles which are usually large
24 enough to look at. The difficulty is when
25 you get to particles below the diameter of

1 the wavelength of light, some of the light
2 optical conditions when you can't identify
3 some of these submicron particles with some
4 of the light optical techniques. So you have
5 to go look at the bundles or masses to see
6 what those might be.

7 MS. AINSWORTH: Didn't you make a
8 statement that you said the additional
9 magnification of TEM was not necessary?

10 MR. STAMBERG: No. That's helpful
11 because you can see non-parallel fibers, and
12 you can see the morphology of the particles
13 that are smaller than a wavelength of
14 light -- generally two microns or finer. You
15 can see a more definite image and decide
16 whether it's a cleavage fragment or not. So
17 TEM can be one of the mechanisms to
18 differential count from a PCM test.

19 DR. JONES: Good morning. I just want
20 to clarify one thing you said. When you use
21 the TEM and see the things -- the fibers of a
22 much lower diameter, was it your evaluation
23 of the literature you found there was no
24 information saying they had elevated hazard
25 from those?

1 MR. STAMBERG: The studies by Potts,
2 some of the information in the Lippman
3 studies, show that the submicron particles
4 are not the ones that are associated with
5 health risks. I referred back in my file
6 stuff that I can provide for you and show
7 that the Potts demographic and particle size
8 versus health risk.

9 DR. JONES: Were you also saying --
10 this is just for clarity -- when you have the
11 fiber bundles, the things you see by PCM,
12 does it require milling or some major action
13 on those to generate the fibers?

14 MR. STAMBERG: No. Once you are --
15 once the bundles are in the optical range,
16 the polarized slides, the curvature and other
17 morphological visible signs are there so that
18 the bundle can be fairly readily identified.

19 DR. JONES: But does that break up --

20 MR. STAMBERG: What?

21 DR. JONES: Do the bundles break into
22 fibers readily when you view that?

23 MR. STAMBERG: No. No, they don't.

24 MS. SMITH: Mr. Stamberg, can you
25 elaborate somewhat on -- you mentioned the

1 HEPA vacuum cleaner you use. Could you
2 elaborate on that in terms of how it's used,
3 the section of that to be used by miners, and
4 the costs associated with that system?

5 MR. STAMBERG: Yes. The high
6 efficiency particulate air systems come in a
7 number of configurations. When they're doing
8 asbestos abatement in a school, commercial,
9 or even a home situation, it's a three-stage
10 filter, each one getting finer and finer that
11 will take out virtually all the asbestos-size
12 fibers. So when they do negative air
13 abatement -- say they seal this room -- they
14 put it on negative air, which means they suck
15 the air out so there is leakage in, not out.
16 Standard technique. Then they use these
17 filters. Then these filters also come as
18 vacuum cleaners -- large vacuum cleaners or
19 systems where they use that same thing, where
20 the exhaust is virtually clean. And then you
21 can use that to clean the clothes, clean the
22 laboratory, and that type of thing, and then
23 dispose of the filters as required.

24 In the asbestos industry for miner
25 work, a lot of the companies have these HEPA

1 vacuum cleaners for cleaning not only
2 whatever spilled, but they clean their
3 clothes with that. So those systems are
4 available. Virginia Vermiculite has one of
5 those, even though they haven't detected
6 asbestos in their ore or their product.

7 MS. JANES: Good morning. I was just
8 wondering, could you submit your various
9 references to the record as -- like
10 Mr. Lippman's study, the Potts study?

11 MR. STAMBERG: Yes. I'll xerox those
12 and send them by tomorrow, or by Monday.

13 MS. JANES: You're very close to our
14 new location.

15 MS. SMITH: We have a question from
16 another MSHA member who is sitting in the
17 audience.

18 MSHA MEMBER: Yeah. Mr. Stamberg,
19 could you clarify for me also along the same
20 lines as Sharon Ainsworth, that 3:1 ratio,
21 did you say it was not appropriate in the
22 OSHA differential method? Since OSHA uses a
23 differential method as part of --

24 MR. STAMBERG: No. The OSHA EPA method
25 starts with 3:1 on PCM. Then you start

1 differential counting and say, What is this
2 chunk, okay? And then there's a whole series
3 of things which are in my Figure 5 of how you
4 start sorting that out. A cellulose or
5 vegetable fiber looks like a swirled piece of
6 grass, and usually is easily identifiable.
7 Cellulose fibers, insect material, fiberglass
8 is very translucent under the green light.
9 It can be eliminated.

10 Every microscopist and their expertise
11 vary. When you're paying \$6 for these tests
12 you haven't got the Addisons, the Chatfields
13 and the Krons doing this.

14 In England where they have banned
15 asbestos, they have had to develop a method
16 and microscopists with the skills that are
17 consistent and detailed enough to do that
18 differential counting at a higher and more
19 sophisticated level.

20 MSHA MEMBER: Okay. And on the comment
21 you made about the results being on the web
22 site, 178 of those shift-weighted average
23 results represent probably four or five
24 samples taken in consecutive series that led
25 up to that shift-weighted average compliance

1 of --

2 MR. STAMBERG: Okay. So you didn't do
3 900 shift-weighted averages. You did 178
4 shift-weighted averages which may comprise
5 258 separate subtests.

6 MSHA MEMBER: Right.

7 MR. STAMBERG: Oh, okay. So that
8 wasn't apparent from --

9 MSHA MEMBER: We did do a lot more than
10 178, but a lot of those were excluded for
11 quality control purposes. We betted a lot of
12 those samples out because of some concern
13 because they are compliant sample results.

14 MR. STAMBERG: Yes.

15 MSHA MEMBER: So they got a lot of
16 scrutiny.

17 MR. STAMBERG: Mining, because it has a
18 lot of other material other than just the
19 asbestos, can be blinded, or the samples get
20 fogged up and you get a lot of, say,
21 particulate debris from diesel engines and
22 stuff like that. So that's part of the
23 concerns that you need to have good, detailed
24 procedures that reflect mining and mining
25 operations.

1 MS. SMITH: Thank you, Mr. Stamberg.

2 We appreciate you coming.

3 Our next speaker is Robert Glenn.

4 MR. GLENN: Thank you very much,
5 Ms. Smith. I'm Robert Glenn. I'm president
6 of the Industrial Minerals Association of
7 North America. For the record, Glenn is
8 G-L-E-N-N. My training is the field of
9 industrial hygiene. With me today is
10 Mr. John Kelse, K-E-L-S-E. John is the
11 Director of Risk Management for the R.T.T
12 Vanderbilt Company, and a member of our
13 Safety and Health Committee.

14 The Industrial Minerals Association of
15 North America -- and I'll shorten it to
16 IMANA -- appreciates this opportunity to
17 appear at this public meeting to provide
18 comments to MSHA on measuring and controlling
19 asbestos in the mining industry. IMANA is a
20 recently-established trade association
21 serving the interest of six industrial
22 minerals; ball clay, feldspar, industrial
23 sand, mica, soda ash and talc. Thirty-seven
24 founding producer member companies are
25 presently members of IMANA operating more

Heritage Reporting Corporation
(202) 628-4888

1 than 200 mining facilities, and employing
2 another 5,000 workers. Although no IMANA
3 company is engaged in the production or
4 distribution of asbestos or
5 asbestos-containing products, IMANA
6 recognizes the critical importance of sound
7 policy and science in regard to the
8 development and application of any standard
9 involving asbestos.

10 Our comments today draw from the
11 experience of our member companies. We will
12 be brief in our comments today, and confine
13 our remarks to some of the questions asked by
14 the agency in its announced notice of
15 proposed rulemaking. We will submit more
16 comprehensive written comments and materials
17 to the rulemaking record.

18 Regarding the asbestos permissible
19 exposure limit, IMANA believes MSHA should
20 lower its eight-hour time-weighted average
21 permissible exposure limit for asbestos to
22 0.1 fibers per cubic centimeter, and its
23 short-term exposure limit to 1.0 fibers per
24 cubic centimeter over a sampling period of 30
25 minutes. This, of course, would be

Heritage Reporting Corporation
(202) 628-4888

1 consistent with the OSHA standard.

2 The 1994 revised OSHA asbestos standard
3 noted that reducing the exposure limit to 0.1
4 fibers per cc would further reduce but not
5 eliminate significant risk of
6 asbestos-related disease. The excess
7 lifetime cancer risk at that level was
8 estimated to be 3.4 deaths per thousand
9 workers exposed for a working lifetime. With
10 the exception of the one asbestos mine
11 surveyed by MSHA, MSHA's recent field
12 sampling data showed that none of the samples
13 collected exceeded OSHA's eight-hour
14 time-weighted average of 0.1 fibers per cc
15 when analyzed by transmission electron
16 microscopy.

17 While preliminary, these results
18 indicate that exposure to asbestos in mining
19 are low, and that the cancer risk in miners
20 should be less than the OSHA risk estimates,
21 since cumulative working lifetime fiber per
22 cc years in non-asbestos mining will be lower
23 than the cumulative exposures in the OSHA
24 risk estimate.

25 We wish to make it very clear that we

Heritage Reporting Corporation
(202) 628-4888

1 make this recommendation to adopt the OSHA
2 PEL because of the need to be prudent in the
3 face of uncertainty in the interest of
4 regulatory consistency, not because of any
5 agreement on our part with the risk estimate
6 adopted by OSHA.

7 A voluminous body of scientific
8 evidence establishes that asbestos exposure
9 increases the risk for asbestosis, for lung
10 cancer, and for mesothelioma. And while
11 asbestos is perhaps the most studied
12 occupational agent, there remains a great
13 deal of uncertainty and controversy regarding
14 its effect and acceptable levels of exposure.

15 While in our opinion it is not in the
16 interest of any of the affected parties
17 involved in this rulemaking to debate the
18 adequacy of the OSHA asbestos PEL, MSHA
19 should be aware and should keep in mind that
20 uncertainties and controversies do exist.
21 Some of the complexities in designing
22 exposure response relationships and risk for
23 asbestos-related disease include
24 uncertainties and exposure estimates in
25 studied workers both quantitatively and

1 qualitatively, extrapolation to low levels
2 from epidemiological data with high levels of
3 exposure, variability among estimates of risk
4 from various studies, inconsistent or
5 inappropriate adjustment for the possible
6 confounding effects of cigarette smoking,
7 possibility of differences in potency among
8 different types of asbestos, and inadequate
9 description and definitions of asbestos
10 exposure in terms of asbestos mineral type,
11 and characteristics of fibers that may lead
12 to both the inclusion and exclusion of
13 inappropriate fibers leading to aerogenic
14 exposure method.

15 Regarding the analytical method, the
16 IMANA believes that phase contrast microscopy
17 should be continued to be used as a screening
18 tool at the lower PEL of 0.1 fiber per cc,
19 but only if fiber characteristics more
20 specific to asbestos are applied, such as
21 screening approaches that ensure actual or
22 probable asbestos fiber exposures observable
23 by light microscopy are recognized and then
24 confirmed by more discriminating analytical
25 methodology such as electron microscopy. We

1 believe this can be done, and that it will
2 control the unnecessary expenditure of time
3 and money for the TEM work.

4 In the ANPR, MSHA asked for comments
5 regarding the advantage and disadvantage of
6 exclusive use of TEM over the agency's
7 current use of phase contrast microscopy as a
8 screening tool for samples that may contain
9 asbestos. Of course, the major difference of
10 the two methods is the magnification or
11 resolution limits associated with each
12 method. TEM commonly uses magnification of
13 20,000 times for asbestos fibers, while PCM
14 methods use 400 to 450 times magnification.
15 Based on magnification alone, it would seem
16 TEM is the preferable method; however, the
17 agency seems to be aware of limitations and
18 problems surrounding the sole use of TEM for
19 identification and of PEL compliance
20 determination for asbestos by the questions
21 posed affected parties in your "Federal
22 Register" announcement.

23 A specific question for MSHA -- from
24 MSHA -- asks for information on the
25 availability and costs for commercial TEM

Heritage Reporting Corporation
(202) 628-4888

1 analytical services. We have not attempted
2 to survey commercial laboratories regarding
3 capabilities for TEM services, but we are
4 certain that we would find that there are far
5 more laboratories equipped with light
6 microscopes able to analyze fibers of 450
7 magnification using phase contrast
8 elimination than there are laboratories with
9 TEM scopes capable of asbestos identification
10 at 20,000 times. Likewise, it stands to
11 reason that there would be many more trained
12 analysts at commercial laboratories capable
13 of asbestos quantification using PCM than
14 there are electron microscopists at
15 commercial labs providing analytical asbestos
16 services.

17 As for the cost of these analytical
18 services, there are no doubt persons who can
19 provide more precise information, but for
20 illustrative purposes we have made some cost
21 projections using the MSHA asbestos sampling
22 data set as an example.

23 In the asbestos PEL section of the
24 ANPR, MSHA noted that recent field sampling
25 data showed none of the samples collected

1 exceeded OSHA's eight-hour time-weighted
2 average of 0.15 fiber per cc when analyzed
3 using the TEM method. Assuming that the
4 personal asbestos fiber compliance air
5 sampling results -- those that are posted on
6 your web site -- is a basis for this
7 statement, we would make some cost estimates
8 of various strategies for analyzing asbestos
9 by TEM and PCM. And I must say I did not
10 understand all of the complexities in that
11 data until the remark that was made
12 previously by one of the MSHA staff that
13 these numbers would even differ from what I
14 would present.

15 The example we present includes 12
16 samples in the data set from the one asbestos
17 mine, but we understand -- and our example
18 assumes -- that analysis by TEM ranges
19 between \$150 to \$250 per sample, while
20 analysis by PCM for asbestos will range from
21 \$12 to \$15 per sample. Assuming these
22 analytical costs are in the ballpark, if TEM
23 were required for all asbestos samples,
24 analysis of the 273 samples in our count in
25 the MSHA database by TEM would have cost on

1 the low end \$40,950, and on the high end
2 \$68,250.

3 Suppose MSHA were to use a strategy of
4 PCM for screening samples and establish an
5 action level of one half the OSHA PEL of 0.05
6 fibers per cc, and use TEM to confirm the
7 identification of asbestos on samples
8 exceeding the action level. If our
9 understanding of the MSHA data is correct --
10 and again, I think it is quite correct -- 44
11 samples would have exceeded that action
12 limit, and would have been subjected to TEM
13 analysis. Using the current fiber definition
14 for PCM counting, the cost of PCM analysis
15 for all of the 273 samples and confirmatory
16 TEM for the 44 samples would have ranged from
17 \$9,876 to \$15,095. Going one step further,
18 if the screening level was set at the OSHA
19 PEL of 0.1 fiber per cc, 12 samples would
20 have been subjected to TEM, and the cost
21 range would have been \$5,286 to \$8,345.

22 The end result of these scenarios using
23 the MSHA database would have been that no
24 overexposures to asbestos at the OSHA
25 standard would have been detected in mines

1 not engaged in asbestos mining, and miners
2 would not be subjected to unacceptable risk.
3 So if TEM had been used to analyze all of the
4 samples, the cost of doing so would have been
5 wasted. Using PCM as a screen reduced
6 unnecessary cost with no negative impact on
7 risk detection, PCM analysis could be made an
8 even more reliable screening tool by adopting
9 fiber counting criteria more specific to
10 asbestos, resulting in further unnecessary
11 cost containment.

12 Be assured that in a for-profit
13 business a greater than ten-fold cost
14 difference of \$5,286 on the low end of our
15 example and \$68,250 on the high end for any
16 service -- whether it's analytical laboratory
17 or other -- without receiving added value or
18 benefit is not viewed as a sound business
19 expense. Perhaps for any later rule to be
20 proposed by MSHA you will have time to
21 independently survey accredited asbestos
22 laboratories to determine the capability of
23 commercial laboratories for analytical
24 services, and to provide cost projections
25 that will be placed on the industry to comply

1 with the various sampling schemes.

2 This idea or concept of a phase
3 contrast microscopy screening, especially if
4 it's involves asbestos specific fiber
5 counting criteria, is so important we do want
6 to make a little more comment on that. And
7 for that I would ask John Kelse to continue
8 with the remarks on the subject.

9 MR. KELSE: I thank you, Bob. I guess
10 I'll continue by saying that we realize there
11 is concern that asbestos fibers below the
12 resolution limit of a light microscope are
13 not counted under PCM; and, when present,
14 constitute a false negative PCM finding. And
15 there is understandable concern, then, that
16 an undetected health risk exists. And this,
17 in turn, might argue for TEM analysis for
18 every sample -- financial impact aside.

19 In addressing this concern, we found
20 that MSHA's own 285 mine samples -- and
21 again, it's I guess in the PDF file --
22 results provided, as Bob indicated, a
23 valuable insight regarding the practicality
24 of PCM screening from a risk perspective. We
25 noted, for example, that the PCM total fiber

1 counts significantly outnumbered the
2 corresponding TEM counts for actual asbestos
3 in the MSHA database.

4 Comparison was available for 50
5 samples, I believe, for mines not engaged in
6 the mining of asbestos. TEM asbestos counts
7 turned out to be approximately 5 to 20 times
8 lower than the PCM count. Even in the two
9 asbestos mine samples analyzed by both PCM
10 and TEM, the TEM asbestos fiber count was
11 one-half that of the PCM count.

12 We believe this difference demonstrates
13 the significant role non-asbestos elongated
14 particulate false positive PCM results, if
15 you will, play in the mining environment
16 because none of the non-asbestos mine TEM
17 data shows an asbestos concentration in
18 excess of the proposed PEL of 0.1 fibers per
19 cc. Most were not even close. Concern that
20 actual asbestos not observed by PCM may be at
21 risk of significance isn't supported by the
22 agency's own data.

23 We think this, in turn, lends support
24 for PCM as an adequately sensitive
25 approach -- especially in the mining

1 environment -- one that could be made even
2 more useful, as Bob mentioned, with the
3 adoption of more asbestos-specific fiber
4 counting criteria.

5 We believe in non-mining environments
6 where processed asbestos-containing materials
7 are more often encountered, asbestos fiber
8 counts, as MSHA I believe has pointed out,
9 have been shown to be much higher than PCM
10 counts with the same exposure, or the same
11 filter; the exact inverse of what you see in
12 the MSHA mine data. In fact, in the mining
13 environment, it might be argued that the
14 greatest risk of PCM use is false positives.
15 The counting of elongated particulate that is
16 not asbestos.

17 It would be interesting to know how
18 much difference the mining PCM counts would
19 have been from the TEM counts had more
20 discriminating fiber counting criteria been
21 applied in the PCM counts. If you still have
22 those filters, in fact, I suspect MSHA could
23 do that comparison. It would be our guess
24 that the difference between the PCM count and
25 the TEM count would have been much less. And

1 if we're right, the need for TEM work would
2 have been avoided altogether in this sampling
3 effort. And the time and money involved
4 could have been saved or directed to more
5 important safety and health problems.

6 We believe far more could be gained in
7 the mining environment by a more effective
8 PCM screening approach than it could from
9 exclusive use of TEM, since it does not
10 appear a reasonable PCM screening approach
11 would jeopardize the health of miners.

12 Given today's improved understanding of
13 what asbestos is, we believe MSHA should be
14 able to design a more discriminating, more
15 asbestos-specific PCM fiber counting
16 procedure for screening purposes with
17 electron microscopy used to confirm that
18 suspect PCM fibers are a regulated asbestos
19 mineral. Asbestos fiber characteristics that
20 can be observed under PCM will be discussed
21 more fully in our written submission.

22 Further, in regard to the proper
23 asbestos identification, we feel very
24 strongly that MSHA should use this rulemaking
25 opportunity to provide specific guidance to

Heritage Reporting Corporation
(202) 628-4888

1 the regulated community on the difference
2 between asbestiform and non-asbestiform
3 varieties of minerals. Doing this would
4 reduce confusion and support an improved PCM
5 screening approach. This clarity is
6 particularly important in the mining
7 environment because there is an even greater
8 potential than in general industry to
9 mistakenly include cleavage fragments in the
10 counting of asbestos fibers, as I believe the
11 PCM/TEM comparison I just discussed shows.

12 The characteristics of what constitutes
13 and distinguishes asbestiform and
14 non-asbestiform minerals was the topic of an
15 OSHA asbestos hearing in 1990 with a final
16 rule promulgated in 1992. MSHA is encouraged
17 to review the testimony and docket
18 submissions to this rule, and provide
19 guidance to the regulated community and
20 analysts on the characteristics that
21 distinguish asbestiform from non-asbestiform
22 varieties of the serpentine and amphibole
23 mineral groups.

24 A consensus definition from the 1990
25 rulemaking supported by 16 mineral

1 scientists, many of whom have published
2 extensively in this area -- and put forward
3 by the American Mining Congress, now the
4 National Mining Association; and the National
5 Stone Association, that's now the National
6 Stone, Sand and Gravel Association -- defined
7 asbestos and ascribed characteristics of
8 asbestos fibers. We feel that MSHA should
9 adopt this consensus definition as a means
10 further reducing ambiguity in this area. I
11 should note that this consensus definition
12 does not contradict the agency's current
13 definition, but does build upon it. This
14 additional clarity can only improve
15 analytical specificity. A copy of this
16 definition will be provided in our written
17 submission.

18 In regard to what is regulated as
19 asbestos, we would like to simply say at this
20 time that asbestos aside, any material --
21 chemical or mineral, fibers or non-fibers,
22 acicular or elongated, asbestiform or
23 non-asbestiform -- should be regulated only
24 on the basis of demonstrated risk, and
25 always, always called by its proper name.

Heritage Reporting Corporation
(202) 628-4888

1 In the Advanced Notice of Proposed
2 Rulemaking, MSHA also asked if PEL compliance
3 should be measured using TEM, and if disease
4 end points in epidemiology studies of
5 asbestos have been related to TEM
6 measurements. We believe the simple answer
7 to both questions is no. We're not aware of
8 any reported TEM asbestos fiber
9 concentrations that have been adequately
10 correlated to disease end points of asbestos
11 exposure or to risk. In contrast, PCM
12 asbestos fiber counts have been related to
13 asbestos-related disease, and do form the
14 basis for exposure limit decisions.
15 Moreover, we are not aware of any reliable
16 correlation or correction factor that can be
17 applied within TEM asbestos fiber counts to
18 PCM fiber counts. TEM to PCM asbestos fiber
19 counting correlation schemes have been
20 proposed, but the uncertainties and
21 limitations of these schemes are well
22 recognized. Some of those will be discussed
23 in the submissions that we'll make later.
24 Variables such as the mode of fiber
25 generation impacting size and number of fiber

1 bundles, and asbestos mineral type -- some
2 present in shorter, thicker fibers than
3 others -- must be taken into consideration.
4 In summary, TEM asbestos fiber counts should
5 not be compared to PELs that were developed
6 using PCM fiber counts, since they do not
7 relate occupational exposures to disease
8 outcomes.

9 For the above reasons, it will still be
10 necessary to conduct PCM analysis of all
11 samples used for the determination of PEL
12 compliance. Further, we are not aware of any
13 adverse human exposure to any asbestos
14 material in which the airborne exposure could
15 not readily be observed by light microscopy.

16 Switching gears in regard to questions
17 MSHA has asked about take-home contamination,
18 we simply have at this stage a simple comment
19 that we believe that when asbestos take-home
20 exposure exists in a mine from any source,
21 MSHA should require appropriate control
22 measures. The Industrial Mineral Association
23 plans to comment further in this area after
24 MSHA has more fully defined what controls are
25 desirable, and how implementation in this

1 area is envisioned.

2 In regard to asbestos sampling, we
3 believe the most used, established asbestos
4 monitoring protocols -- such as NIOSH 7400 --
5 in terms of filter media, flow rates, and
6 sampling strategies should not be changed.
7 We believe MSHA's emphasis on full-shift
8 personal sampling is appropriate for PEL
9 comparison purposes, as well.

10 IMA North America is obviously most
11 concerned with consistency and proper
12 asbestos identification. Changes in sampling
13 variables such as collection flow rates are
14 likely to further confound the usefulness of
15 asbestos -- further confuse the usefulness of
16 asbestos fiber counts.

17 Comparison of asbestos fiber
18 concentrations obtained in ways different
19 than those used to establish the risk linked
20 PEL reduces the reliability of the sample to
21 predict risk. Obtaining higher fiber counts
22 by adjusting collection and analytical
23 practices is not very meaningful if you
24 aren't able to make apples to apples
25 comparison between exposure and the risk of

1 disease.

2 Certainly any change in asbestos
3 monitoring or analysis that would improve
4 risk recognition is desirable. Such changes,
5 however, should be confirmed before they are
6 implemented. IMA North America is not aware
7 of any monitoring adjustments at this time
8 that would improve upon the current asbestos
9 monitoring system.

10 To wrap up our testimony today, the
11 Industrial Minerals Association believes
12 significant asbestos exposure in U.S. mines,
13 outside the mining and milling of asbestos,
14 is very rare. MSHA's recent assessment of
15 asbestos exposure in mines supports this.
16 Further, despite ongoing controversy
17 regarding risks associated with asbestos
18 exposures and imprecision regarding the
19 identification of asbestos, enough
20 understanding does exist to properly identify
21 and control hazardous exposure. However, to
22 make the best use of this understanding,
23 lessons of the past must not be overlooked.
24 And theories and concepts no longer supported
25 must be abandoned.

1 Considering the error-ridden history of
2 asbestos regulation in the United States as
3 it relates to a host of non-asbestos
4 minerals, it might be argued that the
5 greatest risk to the mining community is when
6 asbestos is improperly identified, and
7 emotionalism is allowed to trump science and
8 reason. The IMA North America looks forward
9 to further participation in this rulemaking
10 as MSHA further refines and clarifies its
11 intentions. Thank you very much.

12 If you have any questions, I'll see if
13 I can dance around.

14 DR. JONES: You had called for a clear
15 definition of asbestiform minerals versus
16 non-asbestiform. What --

17 MR. KELSE: A clear definition of
18 asbestos, what asbestos is. In defining
19 asbestos, you have to also define what the
20 term asbestiform means, and describe it. It
21 will appear in our submissions.

22 DR. JONES: Okay. Thank you.

23 MS. SMITH: Thank you very much.

24 Do we have other individuals in the
25 audience at this time who would like to speak

1 who have not signed up, who have just
2 recently come in?

3 (Pause)

4 Has everyone else who signed up spoken?

5 MS. ELY: Thank you very much. It's a
6 very good thing that you folks have elected
7 to come to Charlottesville today. We
8 appreciate that. My name is Rae Ely, R-A-E,
9 E-L-Y. I'm an attorney from Louisa County.

10 I have been monitoring the production,
11 distribution and problems associated with the
12 vermiculite industry in America for
13 approximately 30 years now. And I may be one
14 of the few people in the room here today who
15 was involved in the Federal government's
16 levels of concern and interest in the 1970s
17 in what was going on in Libby, Montana. Was
18 it Yogi Bear who said, "It's deja vu all over
19 again?" That's how I feel today.

20 The industry, as you know, took a very
21 vigorous stand in the 1970s defending itself
22 in the work that was being done in Libby,
23 Montana. The people of Libby knew that they
24 were being subjected to dangerous materials.
25 And we -- as far away as Louisa County,

1 Virginia -- knew about what was going on in
2 Libby. But the agencies were so concerned
3 about the pressure from the industries that
4 very little was done.

5 I was concerned by your opening
6 statement here today where you indicated that
7 MSHA, even though it had jurisdiction over
8 Libby, learned about the extent of the
9 problem in Libby from reading the Seattle
10 newspapers. This is -- this is a real
11 concern, I think, to people who are concerned
12 about public health.

13 The problems that we have in Louisa
14 County are now being debated as to whether or
15 not there is any health problem. This is the
16 same debate that took place in Libby in the
17 '70s. There are not the death totals that we
18 have in Libby now in Louisa County because
19 that mine is 25 years younger. But I would
20 submit to you that just as the W.R. Grace
21 problem in Libby was a case study in the
22 '70s, where we now know what the bottom line
23 turned out to be, so is the problem in Louisa
24 County a case study that you have the
25 opportunity of addressing today.

1 If you look through your own files and
2 records on the history of the inspections of
3 this mine, you will see a great contradiction
4 in the results that have been developed
5 through the years. A number of reports from
6 the inspections -- the MSHA inspections --
7 show no detection at all. And then when
8 there was greater scrutiny following in the
9 wake of Libby, there was extensive testing
10 which found substantial samples of
11 contamination; some of the contamination
12 being as high as samples that showed
13 99 percent tremolite asbestos.

14 Now, one of the things that's in my
15 possession that's quite interesting, I
16 have -- because of extensive litigation with
17 the W.R. Grace company, I have thousands and
18 thousands of documents from the W.R. Grace
19 company files, which show as early as 1949
20 when the Grace company was doing the original
21 drilling -- the test drilling in Louisa -- of
22 almost every test drilling hole, probably
23 75 percent at least showed high levels of
24 actinolite and tremolite asbestos being
25 pulled out of the ground in these samples.

1 And then, of course, Grace began to be very
2 defensive as the problems in Libby developed.
3 And Grace began to take the same position in
4 regard to the vermiculite deposits in Louisa
5 as it was in Libby, in every instance denying
6 that there was a problem. Also, of course,
7 at Libby they argued that the economics of
8 controls were just so great for them that
9 they could not afford to offer additional
10 protection to the workers and the community.
11 It's ironic, isn't it, that today -- 25 years
12 later -- hundreds of people of the community
13 and the workers are dead. And, of course,
14 the company is bankrupt.

15 Now, one of the questions that was
16 asked here today was about the breakdown of
17 the bundles of fibers during the processing.
18 And I certainly am not an engineer. I'm not
19 a chemist. But I do have a little bit of
20 common sense. And as I say, I've watched
21 this process for a long time. I will say
22 that based on the information that I have
23 available, there is a tremendous risk of the
24 massive asbestos samples -- or the bundles of
25 fibers -- being broken down fairly readily.

1 This product is submitted to grinding and
2 processing as it's being made ready for its
3 downstream market. It is also subject to
4 contact with heavy equipment in the
5 workplace, large pieces of machinery running
6 over this material. And one of the things
7 that Mr. Stamberg did not mention to you is
8 that when the asbestos veins are dug up, much
9 of that is dumped into a pond on the
10 property. And the water for the dust control
11 at the plant is withdrawn from this
12 asbestos-containing pond. And that water is
13 sprayed over the roads and over the workers'
14 area.

15 Right now we are in the middle of a
16 drought, and, of course, tremendous heat.
17 And we've had a fair amount of wind. I would
18 invite any one of you to drive past that
19 plant today and see the clouds of dust -- not
20 only visible on the mine site itself, but out
21 on the road. I drive past it twice every
22 day. I hold my breath, literally, as I drive
23 past that mine site, getting behind trucks
24 that are giving off clouds of dust. All of
25 these minerals -- much of this is stored in

1 an open location in the center of the Town of
2 Louisa where it is being readied for shipment
3 by rail. No protection whatsoever.

4 I am wondering whether any of you who
5 are here today were present at the senatorial
6 hearing that was held by Senator Paddy Murray
7 last fall? One person. I will recommend to
8 you that you review the transcript -- or
9 perhaps the videotape -- which is available
10 that exists from that hearing, and include
11 that information as part of your record
12 today.

13 In any event, we cannot afford to make
14 anymore mistakes like this agency and EPA and
15 other agencies made through the years with
16 Libby. What more do we need than what we
17 already have from the record that is
18 available as far as this product in the
19 United States?

20 Let's err on the side of caution. The
21 most stringent tests should be employed. The
22 workers must be protected. HEPA filters do
23 not control the workplace outdoors. They do
24 not control the dust that is laced with this
25 product that is being spread around this

1 property and around the community. That is
2 not sufficient. And we would request, on
3 behalf of the community and the public at
4 large, that this agency step up to the plate,
5 assume the responsibility that it has, and
6 exercise it to the best of its capability.
7 Thank you very much.

8 MS. SMITH: Thank you very much. If
9 you do have information available to us that
10 you could submit for the record, could you do
11 that?

12 MS. ELY: I'll be happy to supplement
13 my remarks with documents.

14 MS. SMITH: Thank you very much.

15 Are there any other speakers in the
16 audience who have not signed up, but would
17 like to speak at this time?

18 (Pause)

19 We do have a request for speakers for
20 1:00 this afternoon. Since we have no other
21 speakers at this time, we will go off the
22 record. The panel will remain in this room
23 until 11:00. If we do have other speakers
24 come and request to speak, we will go back on
25 the record to accept their remarks. If not,

1 then we will come back on the record in this
2 room at 1:00. We have, I believe, eight
3 speakers this afternoon beginning at 1:00.
4 Thank you very much.

5 (Off the record, 10:40 a.m.)

6 MS. SMITH: Ladies and gentlemen, it is
7 11:00. We had no further requests for
8 speakers this morning. Therefore, we will
9 adjourn until 1:00. We do have speakers
10 scheduled for this afternoon beginning at
11 1:00. Thank you.

12 (Recess, 11:00 a.m. to 1:07 p.m.)

13 MS. SMITH: I've had a request to
14 start. We're going to start with Mr. William
15 Ford.

16 MR. FORD: Thank you very much,
17 distinguished members of the MSHA Asbestos
18 Hearing Panel. My name is William Ford. I'm
19 senior vice president of the National Stone,
20 Sand and Gravel Association. I'm a
21 registered professional engineer, and have
22 been with the association for the past 12
23 years. I have more than 36 years experience
24 in the field of environmental engineering, a
25 significant portion of which has been as an

Heritage Reporting Corporation
(202) 628-4888

1 environmental and public health regulation.

2 The National Stone, Sand and Gravel
3 Association, NSSGA, is pleased to offer
4 comments and evidence in response to a
5 request for comments from the Mine Safety and
6 Health Administration regarding asbestos. We
7 appreciate that the agency has reached out to
8 stakeholders in an Advanced Notice of
9 Proposed Rulemaking to obtain their views on
10 this important matter. NSSGA has assembled
11 speakers with expertise on the various facets
12 of asbestos from geology, mineralogy,
13 analytical chemistry, safety and health, and
14 industrial hygiene to offer the best possible
15 advice to the agency during its deliberation.

16 NSSGA is the world's largest mining
17 association in terms of annual production and
18 locations represented with more than 900
19 member companies -- many of which are small
20 businesses -- operating over 3,500 locations
21 across America. Our membership represents
22 about 90 percent of the crushed stone,
23 70 percent of the sand and gravel produced
24 annually in the United States. During 2000,
25 2.78 billion metric tons of crushed stone,

Heritage Reporting Corporation
(202) 628-4888

1 sand and gravel, valued at \$14.5 billion,
2 were produced and sold from the 10,000
3 locations nationwide -- more than double the
4 tonnage of the next largest mining sector,
5 which is sole. We represent operations in
6 all 50 states.

7 NSSGA is completely and unreservedly
8 committed to assuring a mining workplace that
9 is free of recognized safety and health
10 risks. NSSGA's "Safety and Health Guiding
11 Principles," a written statement of policy,
12 advocates that NSSGA members advocate a
13 strong and unwavering commitment to safety
14 and health, and pledges the association's
15 work toward the prevention of all
16 occupational illnesses and injuries.

17 Over the years, NSSGA has backed up
18 this lofty rhetoric with programs designed to
19 meet the objectives the organization
20 espouses. For instance, in a landmark,
21 award-winning seminar series, we have
22 partnered with MSHA to bring real-life
23 instruction on sampling for noise and
24 respirable dust to aggregates industry safety
25 and health professionals. Some 320

1 individuals have successfully completed this
2 program, including eight who just completed
3 the intensive three-day workshop this past
4 week.

5 We have produced videos on new miner
6 training, haul truck safety, and basic safety
7 and health principles. We have teamed with
8 MSHA to produce PowerPoint presentations on
9 high wall safety, and we're currently working
10 with the agency on a high wall video safety
11 series. And we have joined hands with the
12 agency to dissect the job of haul truck
13 operators as a first step in preparing an
14 interactive CD-ROM, which we believe will
15 lead to a sharp reduction in the number of
16 accidents of powered haulage accidents.

17 We have also collaborated with the
18 agency, other industry stakeholders, and
19 labor representatives to fashion a new safety
20 training rule that meets the unique needs of
21 safety aggregates miners. NSSGA co-founded,
22 co-led and provided volunteer staff for the
23 Coalition for Effective Miner Training, an
24 industry/labor coalitions that MSHA used as
25 the basis for its Part 46 training

1 regulation.

2 NSSGA's Board of Directors has approved
3 development of an occupational health program
4 for the aggregates industry. The OHP, as we
5 call it, will set a benchmark for
6 occupational health in the aggregates
7 industry that should ensure an even healthier
8 aggregates mining population than now exists,
9 and may serve as a beacon for other industry
10 segments to follow. The Association is a
11 co-founder and active participant of the
12 Silica Coalition. The aim of this
13 organization is to bring sound science to
14 regulatory deliberations on crystalline
15 silica. NSSGA is also funding an
16 epidemiological study of crushed stone
17 workers to determine what, if any, adverse
18 health effects they may have experienced due
19 to potential exposure to crystalline silica.

20 The six commercial varieties of
21 asbestos are widely known to present a
22 serious health risk under specific
23 circumstances of exposure. These substances
24 are among the most highly regulated of any in
25 the country today. We appreciate that the

Heritage Reporting Corporation
(202) 628-4888

1 agency is taking steps to tighten its
2 asbestos regulations in the wake of the
3 tragedy in Libby, Montana. We offer our
4 heartfelt condolences to those who have
5 suffered there, and to those who continue to
6 suffer.

7 It's crucial to identify the hazard,
8 and then to develop thoughtful regulations
9 that will minimize or eliminate that hazard.
10 We see a risk that MSHA might encompass, by
11 regulation, non-asbestiform materials for
12 which no health effects have been observed.
13 As the panel knows, an attempt to regulate
14 non-asbestiform minerals occurred in 1986
15 when the Occupational Safety and Health
16 Administration announced a final rule aimed
17 at regulating the non-asbestiform mineral
18 habit of actinolite, tremolite, and
19 anthophyllite.

20 Fortunately, this so-called ATA
21 regulation was subsequently withdrawn in 1992
22 after NSSGA -- operating then as two separate
23 entities, the National Stone Association and
24 the National Aggregates Association -- along
25 with others, persuaded an attentive agency

1 that it was off course; and that there was,
2 in fact, no health justification for the
3 regulation. The exhausting effort stretched
4 out over six long years, and consumed
5 countless hours of personnel time, and
6 involved an expenditure to the industry alone
7 of over \$5 million in direct costs. A decade
8 has since past, and there still is no
9 evidence to support a regulation of
10 non-asbestiform minerals.

11 Why did we put so much effort into
12 turning back a regulation issued by an agency
13 that didn't even have jurisdiction over our
14 mining operations? We viewed it as critical
15 to the very survival of our industry because,
16 while OSHA does not regulate aggregates
17 mines, it does regulate our customers. This
18 issue is even more critical today because it
19 is under consideration by an agency, MSHA,
20 that does regulate our industry.

21 NSSGA viewed the 1992 decision as a
22 victory for sound science, reason, and just
23 plain common sense. In considering changes
24 to its own asbestos standard, MSHA has a more
25 challenging mission than OSHA had 15 years

1 ago. Because OSHA is primarily concerned
2 with the handling of commercial asbestos in
3 abatement projects, where the presence of
4 asbestos is known, the need for more specific
5 mineralogical descriptions of asbestos and
6 more specific methods of analyzing asbestos
7 are not necessary. But the environment MSHA
8 looks after is much more difficult and
9 complex when sampling and analyzing for
10 asbestos, because in this setting the agency
11 deals primarily with non-commercial,
12 naturally-occurring asbestos, or no asbestos
13 at all.

14 Where the federal fiber definition of a
15 particle that is five microns and longer with
16 an aspect ratio of at least 3:1 is not too
17 troublesome in OSHA's regulatory environment,
18 it is very troublesome and inappropriate in
19 MSHA's regulatory environment. The only
20 reason it hasn't been a problem in the past
21 is that the current exposure limit of 2
22 fibers per cubic centimeter is high. Not
23 many samples reach this concentration, and
24 need mineralogical determination.

25 In the quarry environment there are

1 many types of harmless rock fragments that
2 fit the federal fiber definition. In fact,
3 the non-asbestiform habits of the six
4 commercial varieties of asbestos fall under
5 this category. As MSHA considers reducing
6 its PEL from two fibers per cubic centimeter
7 to a tenth of a fiber per cubic centimeter,
8 the number of samples requiring additional
9 electron microscopic analysis for
10 mineralogical characterization will increase
11 dramatically unless the discriminate counting
12 procedure used by MSHA is more inclusive of
13 asbestos and exclusive of non-asbestos.

14 We fully recognize the need for many
15 MSHA to respond to the recommendations
16 contained in the Department of Labor
17 Inspector General's 2001 report. We have
18 prepared testimony to assist the agency in
19 responding responsibly to those
20 recommendations.

21 As noted, we have assembled a panel of
22 experts from across North America to offer
23 their expertise in this matter in their
24 professional field. Resumés of this group
25 will be submitted with their formal

Heritage Reporting Corporation
(202) 628-4888

1 testimony, so I'll dispense with reciting the
2 extensive array of skills, experience and
3 training that they bring to their work.
4 Nonetheless, I will provide a short
5 introductory remark on each of them now.

6 Our first speaker will be Dr. Malcolm
7 Ross, a retired geologist with the U.S.
8 Geological Survey, who now is in private
9 practice in mineral consulting. Dr. Ross
10 will stress the importance for MSHA to
11 properly define, sample and analyze asbestos
12 in the mining industry to avoid significant
13 adverse economic impacts. Dr. Ross's entire
14 career has been devoted to geology and
15 mineralogy.

16 The next three speakers following
17 Dr. Ross will describe the specific impact
18 improper asbestos definitions and analytical
19 methods have had on their operations.
20 Speaking from personal, firsthand experience
21 will be Doug Palmore from Luck Stone
22 Corporation here in Virginia, Rick Cole from
23 the Lafarge Corporation in Maryland, and Alan
24 Bowen of Southdown in New Jersey. Southdown
25 is a division of the Cemex Corporation.

1 Our fifth speaker, Dr. Ann Wylie from
2 the University of Maryland, will review the
3 scientific literature that addresses how
4 asbestiform fibers and non-asbestiform
5 particles differ in the real world.
6 Dr. Wylie will explain how asbestos is
7 defined neurologically, and how the federal
8 fiber definition fails to differentiate
9 between asbestiform and non-asbestiform
10 minerals. She will stress the need to
11 incorporate these real world differences in a
12 proper set of counting criteria that can be
13 used in air and bulk analyses. Dr. Wylie has
14 more than 35 years of experience in the
15 field.

16 Dr. Richard Lee of the R.J. Lee Group
17 in Monroeville, Pennsylvania will talk about
18 the -- who has been active in the area of
19 asbestos analytical research since the
20 1970s -- will demonstrate that many samples
21 collected in quarries will exceed .1 fibers
22 per cubic centimeter under microscopic
23 analysis by phase contrast microscopy.

24 This will lead to a discussion about
25 the need to change the simplistic federal

1 fiber counting criteria, since failing to do
2 so could lead to excessive electron
3 microscopy analysis. He will discuss the
4 technical difficulties of characterizing
5 samples using electron microscopy with a lack
6 of qualified laboratories. He will address
7 the impracticality of using OSHA's current
8 fiber definition in an unknown mineral
9 environment. His talk will conclude with a
10 description of an analytical approach to air
11 samples that contain federal fiber count for
12 historical purposes, but incorporates the
13 discriminate counting process that isolates
14 only fibers of health concern; that is, long,
15 thin fibers.

16 Dr. Eric Chatfield of Toronto-based
17 Chatfield Technical Consulting, who will
18 precede Dr. Lee, will describe how EPA is
19 using the discriminate counting process to
20 focus on asbestiform particles that are less
21 lest than .5 microns in width, and 10 microns
22 and longer. He will emphasize the
23 inappropriateness of the PCM federal fiber
24 method for the ambient environment typical of
25 quarries. And he will identify other

1 entities that have departed from the federal
2 fiber PCM counting method.

3 Kelly Bailey will conclude our
4 presentation. Kelly is a certified
5 industrial hygienist with Vulcan Materials
6 Company, the nation's largest producer of
7 aggregate products. He will describe how
8 inappropriate fiber definitions have warped
9 the dose/response curves for asbestos miners,
10 and how continued use of the federal fiber
11 analytical approach will prolong poor
12 science. Kelly will also summarize the main
13 points made by each of the panelists. And he
14 will outline a proposed standard regarding
15 fiber definitions and analytical processes.

16 In the interest of time and efficiency,
17 we suggest that members of the panel hold any
18 questions or comments until all of the
19 speakers have concluded their presentations.
20 It's possible that the questions that you may
21 have for one speaker will be answered by a
22 subsequent speaker.

23 Thank you again for offering
24 stakeholders such as NSSGA the opportunity to
25 offer expert witnesses on this vital issue.

Heritage Reporting Corporation
(202) 628-4888

1 Copies of the testimony of each of our
2 speakers, plus an extensive array of
3 supporting documents, will be submitted to
4 the docket before the close of the comment
5 period. This concludes my presentation, and
6 Dr. Ross will be our first speaker.

7 DR. ROSS: Thank you for allowing me to
8 address the panel, the MSHA panel.

9 The crushing of any rock produces some
10 mineral particles that may be within the size
11 range of specified federal regulations. If
12 correct definitions of the truly hazardous
13 material; that is, asbestos, are not made, it
14 presents a formidable problem to those
15 analyzing for the asbestos minerals in the
16 multitude of different mineral particles that
17 may be found in rock dusts, for not only must
18 the size and shape of the mineral particles
19 be determined, but also an exact mineral
20 identification must be made. Many different
21 types of non-fibrous amphiboles are found in
22 many types of common rocks. And many of
23 these amphiboles might be considered
24 asbestos, depending on the professional
25 training of the analyst, on the equipment

1 used for analysis. Drs. Wylie, Lee and
2 Chatfield, in the testimony to be given
3 later, ably discuss the methods to
4 distinguish asbestos particles to
5 non-asbestos particles, as I have nothing
6 further to contribute to this subject other
7 than to support their conclusions.

8 If the suspect fibers include
9 non-fibrous, amphibole minerals, then we must
10 recognize asbestos presents -- is present in
11 significant amounts in many types of rocks
12 covering perhaps 30 percent of the United
13 States.

14 This is a slide that was produced by
15 the Environmental Protection Agency some
16 years ago. And on the right you see the
17 cross-hatch region. That's essentially the
18 Appalachian Mountains where you have many
19 types of rocks that can contain asbestos, as
20 well as in the West Coast in the Sierras and
21 Rocky Mountains and so forth.

22 Rocks within the serpentinite belts,
23 greenschist rocks, amphibolites, gneissic
24 rocks, diabases, basalts, trap rocks and
25 granites would be considered asbestos

1 bearing. Asbestos regulations would thus
2 pertain to many of our country's mining
3 operations and quarrying operations for
4 concrete aggregate, dimension stone, road
5 material, railroad ballast, and riprap. Also
6 affected would be the construction
7 industry -- road and housing construction,
8 for example.

9 I now want to mention the Libby
10 asbestos problem. The Libby vermiculite
11 deposit located near the town of Libby,
12 Montana is owned by the W.R. Grace Company,
13 and was operated by that company from 1963
14 until it closed in 1990. The vermiculite
15 found in a geologically complex magnesium
16 iron-rich rock composed of alkaline rocks,
17 syenites, trachytes, phonolites and granites.
18 Anything amphibole-bearing, asbestos-bearing
19 veins are disseminated throughout the
20 vermiculite body. The asbestos has been
21 identified as amphibole winchite.
22 Colloquially, you can refer to this as a
23 sodic tremolite.

24 Apparently, the newly-proposed MSHA
25 regulations were promulgated because of the

1 concern over the asbestos dust and resulting
2 health effects from the Libby mine. The
3 problem at Libby, as I see it, is not because
4 there was a lack of a .1 fiber standard,
5 because neither the mine operator nor the
6 state or federal authorities recognized that
7 asbestos was pervasively disseminated through
8 the ore body, and that airborne dust levels
9 were far higher than existing regulations
10 permitted, even after wet processing began in
11 1974. It was well known asbestos was present
12 in the ore body long before the mine was
13 taken over by Grace Chemical Company.

14 If a mineralogist/petrologist had been
15 engaged to inspect this mine for asbestos,
16 warnings could be given, and mining
17 procedures altered, if possible, to keep the
18 dust levels low. I believe it is imperative
19 that mines of any type be inspected by
20 qualified mineralogists and petrologists in
21 order to protect the potential dust risks, an
22 activity that I and my colleagues have been
23 engaged in for several years.

24 Next, the crystal growth of asbestos
25 fibers. All asbestos occurrences that I have

1 seen, and are noted in the scientific
2 literature, show that asbestos crystallizes
3 under very special conditions -- conditions
4 that occur within rock formations that are
5 undergoing intense deformation. Rock
6 deformations are often accompanied by the
7 intrusion of magnetic fluids forming dikes
8 and sills. Fibers crystallize in high strain
9 environments such as within folds, shear
10 planes, faults, dilation cavities, and at
11 intrusion boundaries.

12 For example, we observed fiber
13 formation in a shear zone within a
14 metamorphosed iron formation. Here
15 non-fibrous ferroactinolite amphibole came
16 into contact with low temperature acidic
17 solutions which were moving through an active
18 shear zone, causing the amphibole to
19 re-crystallize in a fibrous form.

20 In another mine, I studied felsic dikes
21 had intruded the host rock; the dikes
22 composing perhaps 2 or 3 percent of the total
23 rock volume. Asbestos was not found within
24 the ore-bearing portion of the ore body, but
25 rather as thin coatings of asbestos on the

1 contact surfaces between the felsic dikes and
2 the host rock. I estimate that the fibrous
3 mineral associated with the felsic dikes
4 composed much less than .01 percent of the
5 total volume of the rock.

6 In another mine, I noted thin coatings
7 of asbestos on the shear surfaces of large
8 blocks of marble, this shearing probably
9 occurring over tens of millions of years.
10 Rock deformations are common and found in
11 many different geologic localities, hosting a
12 variety of mineral deposits. But even though
13 a deposit may be exploited for something
14 other than asbestos, asbestos may form in
15 extremely small quantities within the
16 deformed rock.

17 Even though asbestos was present in the
18 examples I presented, it was little or none
19 that would be expected to show up in air
20 sampling, particularly when the
21 asbestos-bearing rock is not crushed and
22 processed, but rather discarded with other
23 overburden. I bring this point out, for even
24 though the fiber may not be detected in air
25 samples, organizations or individuals who,

1 for one reason or another are against mining,
2 might collect samples of sheared rock and
3 show that asbestos is indeed present in the
4 ore, and thus there is a potential health
5 danger to the miners and those living nearby.
6 The mere fact that asbestos exists in a mine
7 is often enough to stop production -- the
8 one-fiber-can-cause-cancer scenario.

9 I present some case histories of where
10 I think there has been a misdirected effort
11 at the regulation. A large number of actions
12 over the last 30 years, perpetuated in a
13 misguided effort to protect human health,
14 have greatly affected the vitality of U.S.
15 Mining and metals industries and the U.S.
16 economy. A few examples are given here.

17 A flood barrier surrounding part of the
18 City of San Jose, California is composed of
19 serpentinite rock containing small amounts of
20 chrysotile asbestos. The EPA considers that
21 rock toxic, and placed a barrier on the
22 Superfund list for remedial action. In this
23 same city, the extension of a mass transit
24 rail line was held up indefinitely because
25 the right-of-way required a cut through a

1 hill composed of serpentinite rock.

2 Serpentinite is a very common type of
3 rock exposed in many areas in the United
4 States, and is commonly used in construction
5 zones and aggregates. Indeed, if
6 serpentinite rock is considered dangerous,
7 thousands of square miles of land might be
8 placed off limits for any kind of
9 development.

10 In one area of California, the New
11 Idria Mountains, 50 square miles of soft rock
12 is naturally exposed at the surface
13 containing 15 to 60 percent chrysotile
14 asbestos. Large amounts of asbestos from
15 this area have entered the environment, both
16 air and water and stream, for millions of
17 years with no discernible health effects to
18 the residents in those areas.

19 The U.S. District Court in Minnesota in
20 1975 declared the taconite mined by Reserve
21 Mining Company contained amosite asbestos.
22 The company was ordered to build a special
23 landfill costing \$300 million to dispose of
24 the waste rock. Soon after spending this
25 money for site preparation, the company

1 declared bankruptcy. The taconite mined by
2 Reserve contains magnetite, carbonates,
3 quartz, and various other silicates;
4 including non-asbestiform cummingtonite and
5 actinolite amphibole. After carefully
6 sampling 11-miles just recently, I found only
7 one small area, a shear zone, that contained
8 asbestos.

9 In 1987, actinolite asbestos was
10 discovered at a construction site in Fairfax
11 County, Virginia, causing concern over
12 possible health risks to workers, as well as
13 county residents. The asbestos was confined
14 to the shear zone and anticlinal folds within
15 the actinolite schist, a prominent rock type
16 within the Piney Branch formation, which
17 outcrops over three and-a-half square mile
18 area of Fairfax County.

19 As a result of this discovery, the
20 Fairfax County Health Department initiated
21 dust control procedures. The advisory
22 requires contractors to use proper dust
23 control practices, air monitoring, safe waste
24 rock disposal, and existing asbestos
25 standards. It further states in the county

1 advisory that construction not be banned. As
2 a result of this well-reasoned regulatory
3 initiative, the county continued to build
4 housing and commercial buildings on some of
5 the most valuable land in the United States,
6 while at the same time protecting the workers
7 and the public from an avoidable risk of
8 asbestos-related disease.

9 Lastly, during the 1990s, many new
10 housing projects were started in the
11 fast-developing foothills area of El Dorado
12 County, California, a county located in the
13 Great Valley serpentinite belt. During
14 excavation for housing sites within the
15 serpentinite rock, fragments of tremolite
16 were found, thus alarming the homeowners.
17 The local newspapers published a series of
18 articles that suggested that the county
19 residents' exposure to tremolite asbestos was
20 endangering their health. A large number of
21 air samples were collected in numerous sites
22 all over the county by the California Air
23 Resources Board. The fiber concentrations,
24 non-tremolite, averaged less than .001 fibers
25 per cubic centimeter. El Dorado County still

1 appears to remain in turmoil over the
2 asbestos. In contrast, Fairfax County, in
3 which the asbestos controversy -- if indeed
4 there was one -- died out 10 years ago.

5 Cleavage fragments described as
6 amphibole, as well as fragments from many
7 other natural occurring minerals, are
8 abundant in our environment. Anywhere that
9 mines or quarries are operated, where
10 building road and tunnel construction occurs,
11 in many agricultural regions, and where
12 mineral or rock aggregate is processed or
13 utilized, mineral fragments will usually be
14 encountered both in air and water.

15 It is now common for people living near
16 mines and quarries to believe that any amount
17 of asbestos, or minerals said to be
18 asbestos-like present unacceptable health
19 risks. Others at this meeting will tell
20 their problems facing quarry operations.

21 Many prescribe to the theory that there
22 is no known exposure threshold for the
23 induction of cancer. It's stated repeatedly
24 in the press and in many health reviews that,
25 because no one knows the minimum amount of a

1 carcinogen required to initiate the growth of
2 a tumor, it must be assumed that any amount
3 of a carcinogen is unsafe. Such statements
4 lead the public to believe that just one
5 fiber of asbestos can cause cancer, and has
6 led many communities to ban the mining and
7 quarrying of rock.

8 Hopefully, the state and federal
9 regulatory agencies will help to counter such
10 perceptions with promulgation of guidelines
11 giving the true risks to the miners, as well
12 as those living in the vicinity of the mine
13 or quarry, of the various exposure scenarios.

14 With regard to the proposed MSHA
15 standard of .1 asbestos fibers per cc, I
16 believe most stone quarries could operate at
17 this standard rock, provided only true
18 asbestos is counted. However, if amphibole
19 cleavage fragments are counted, many quarries
20 could not meet the standard. Thank you.

21 MR. PALMORE: Good afternoon. My name
22 is Doug Palmore. I am the Environment,
23 Health and Safety Manager for Luck Stone
24 Corporation. Luck Stone is a family-owned
25 and operated aggregate company headquartered

Heritage Reporting Corporation
(202) 628-4888

1 in Richmond, Virginia with 800 associates and
2 19 operations in Virginia and North Carolina.

3 In addition to my role at Luck Stone,
4 I'm also speaking today on behalf of the
5 Virginia Aggregates Association, and in
6 support of the testimony prepared by the
7 National Stone, Sand and Gravel Association.
8 The groups I am representing today support
9 MSHA in its efforts to protect miners from
10 hazards associated with asbestos.

11 Today I'm going to deliver a factual
12 account about the economic impact caused by
13 the misidentification of asbestos in crushed
14 stone at one of our quarry operations. This
15 misidentification was due to improper
16 analytical methodology, and an improper fiber
17 definition which apparently led to cleavage
18 fragments being mistaken for asbestos.

19 A little over two years ago, our
20 materials testing lab received a call from
21 the Virginia Department of Transportation and
22 Materials Division notifying us that they
23 were investigating the possibility of
24 asbestiform minerals in materials from our
25 Rockville, Virginia -- not Rockville

Heritage Reporting Corporation
(202) 628-4888

1 Maryland -- crushed stone plant. This
2 notification was very informal, and VDOT
3 representative implied this was no big deal,
4 they were not concerned, and they were simply
5 addressing a citizen's complaint.

6 A week earlier, VDOT had received a
7 letter from a concerned citizen notifying the
8 Department that he had collected a sample
9 from an unpaved road in front of his home.
10 He had read an article about naturally
11 occurring asbestos, and thought his
12 respiratory ailments and those of his family
13 may be due to asbestos in the dust from the
14 unpaved road. He apparently had been working
15 for some time to get the road paved with no
16 success.

17 The results of his sampling showed
18 2.8 percent chrysotile asbestos as identified
19 by EPA Method 600/R-93/116 using TEM. Armed
20 with this data, he sent a letter to his local
21 health department and copied the VDOT
22 Secretary of Transportation, the State
23 Attorney General, the Director of the
24 Virginia Department of Environmental Quality,
25 and the director of EPA Region III, to name a

Heritage Reporting Corporation
(202) 628-4888

1 few. It was not long before VDOT's position
2 was very formal, very serious, and directed
3 squarely at Luck Stone.

4 Even though stone had been placed on
5 that road from several different quarries
6 over the years, our Rockville plant had
7 current orders to supply the VDOT maintenance
8 shed that served the road in question.
9 Within a couple of days of the initial
10 notification, we received a call from the
11 VDOT district administrator notifying us that
12 VDOT was discontinuing the use of our stone
13 in one of their residencies until the
14 asbestos issue was resolved. And they
15 requested access to our Rockville plant for
16 testing.

17 We immediately collected a random
18 sample of base material from Rockville, and
19 shipped it overnight to R.J. Lee Group in
20 Monroeville, Pennsylvania for asbestos
21 analysis. We had not conducted any asbestos
22 sampling previously because the geology at
23 Rockville does not lend itself to the
24 formation of asbestiform mineralogy.

25 Within 24 hours, we received the

1 results from R.J. Lee confirming what we
2 thought: There was no asbestos in our
3 Rockville material. We communicated that
4 information to VDOT, but they would not lift
5 the ban on our material until they received
6 the results of sampling that they had done at
7 our plant and on the road in question.

8 During this process, we were very
9 concerned that VDOT may be using labs that
10 were accustomed to analyzing building
11 materials, and may not have experiencing
12 analyzing natural occurring minerals for
13 asbestos. Our fears were realized when one
14 of VDOT's initial samples from the roadway
15 showed a trace of chrysotile asbestos.

16 Concurrently with the sampling
17 activity, and despite Luke Stone's data
18 showing the Rockville material to be asbestos
19 free, VDOT began circulating an e-mail
20 banning Rockville's products from the entire
21 Fredericksburg district and Richmond
22 district. VDOT began to shut down our
23 customers -- asphalt and concrete
24 producers -- working on state jobs. Contract
25 truckers were bringing the VDOT e-mail to

1 other Luck Stone sites, and asking if this
2 ban applied to all of Luck Stone, or just
3 material coming from our Rockville plant.
4 The volatility of this situation expanded
5 very quickly because of the general public's
6 concern that asbestos equals cancer.
7 Fortunately for us and for VDOT, VDOT did not
8 used the word "asbestos" in their e-mails,
9 only the word "contaminated" to describe our
10 product.

11 A group from Luck Stone requested and
12 received an emergency meeting with VDOT's
13 Assistant Commissioner for Environment,
14 Transportation, and Regulatory Affairs to
15 express our concern over VDOT's response to
16 this situation, and to request that the ban
17 be lifted from our Rockville plant. We
18 received an assurance that he would do
19 everything he could to expedite VDOT's
20 response, but he was not prepared to lift the
21 ban until he had conferred with VDOT's
22 project team.

23 Two days later, we finally convinced
24 VDOT to participate in a conference call with
25 the labs they were using and the R.J. Lee

1 Group. The conference call occurred eight
2 days after we were initially notified of the
3 problem, and three days after the ban on our
4 material began to circulate. The outcome of
5 that conference call was to split a series of
6 samples between VDOT's lab and R.J. Lee.
7 Only after VDOT received the results of the
8 split sampling showing no asbestos present
9 did they finally lift the ban on our product.
10 VDOT impacted Rockville plant sales for seven
11 days as a result of the misidentification of
12 asbestos from an unpaved road.

13 The direct cost to Luck Stone in the
14 form of lost sales and analytical fees
15 numbered in the thousands of dollars, but
16 pales in comparison to the cost of the
17 man-hours we spent responding to this
18 unfortunate situation.

19 In addition to the cost to Luck Stone,
20 VDOT had a team of four to five people
21 working on this project, along with
22 representatives from the Virginia Health
23 Department. Between VDOT and Luck Stone, we
24 collected well over 60 samples from our
25 plant, VDOT's stockpiles, and the unpaved

1 roadways -- all at a significant cost to the
2 taxpayer. All because a lab with an
3 excellent reputation for analyzing asbestos
4 in building materials did not distinguish
5 between a rock fragment and an asbestos
6 fiber.

7 Beyond the measurable financial loss,
8 the what-ifs associated with this story are
9 even more daunting. If the press had picked
10 up on the word "asbestos" associated with our
11 Rockville plant, it is difficult to predict
12 the amount of damage that would have occurred
13 to Luck Stone's reputation and viability in
14 the Richmond, Virginia market.

15 The cost to our industry and to MSHA
16 resulting from a regulation that allows the
17 misidentification of asbestos in naturally
18 occurring minerals would be catastrophic.
19 I'm not a chemist or a geologist, so I will
20 not begin to speak to the technical details
21 of what analytical methods are appropriate,
22 or what the fiber definition should be. We
23 have assembled an incredible wealth of
24 knowledge and experience on the proper
25 identification of asbestos in this room

1 today, and they will provide the scientific
2 justification for our position. My concern
3 is that we properly identify and regulate
4 true asbestos for the health of our miners,
5 for the well-being of the public, and for the
6 good of our industry.

7 Thank you very much for the opportunity
8 to speak today. I would like to introduce
9 Rick Cole of Lafarge North America, who will
10 be our next speaker.

11 MS. SMITH: Thank you.

12 MR. COLE: Good afternoon. My name is
13 Rick Cole. I'm the manager of Environmental
14 Control in Lafarge North America, Eastern
15 U.S. Region. I would like to thank the MSHA
16 Office of Standards, Regulations and
17 Variances for the opportunity to comment on
18 measuring and controlling asbestos exposure.

19 We have had a problem with the
20 definition of asbestos as a 3:1 aspect ratio,
21 and equal or greater to five microns in
22 length for phase contrast microscopy,
23 analysis which would then require
24 transmission electron microscopy. This
25 definition would include cleavage fragments

1 which would not be true asbestos, but would
2 require us to proceed with TEM, which takes
3 more time, and is more expensive.

4 You are also investigating reducing the
5 PEL from two fibers per cc to .1 fiber per
6 cc. This will cause the industry to test
7 many more samples by TEM, which would be a
8 waste of time and money since 3:1 greater
9 than five microns would not necessarily be
10 asbestos. Please don't make the same mistake
11 that OSHA made during the hearings on
12 asbestos back in the early '90s.

13 To illustrate our concerns, I would
14 like to relate two episodes which our company
15 encountered in 1986 due to the June 1986 OSHA
16 proposal. We feel that both of these
17 situations could reoccur with the current 3:1
18 aspect ratio greater than five microns in
19 length definition if the agency fails to
20 include an adequate definition of asbestos,
21 and an adequate analytical procedure.

22 The first episode I'd like to relate is
23 the New England play sand issue. It engulfed
24 our company around 1986. And it began with a
25 publication in the "New England Journal of

1 Medicine" on October 2nd, 1986. The article
2 resulted in a panic situation in which we
3 removed all our product from retailer
4 shelves. This issue surfaced in the states
5 of Massachusetts and New York, and was due to
6 the lack of an adequate definition of the
7 material allegedly found in play sand.

8 Numerous laboratories and renowned
9 specialists were called upon to analyze these
10 materials which were found to contain
11 non-asbestiform tremolite rather than
12 tremolite asbestos. Since they met the 3:1
13 aspect ratio, and were greater than five
14 microns, they were initially reported as
15 asbestos. Our firm was required to engage
16 lawyers, as well as specialists, to monitor
17 the issue -- all at great expense. We
18 believe all this was caused by an inadequate
19 definition of asbestos.

20 The second issue is Prince Georges
21 County, Maryland, basically as a local
22 jurisdiction's attempt to regulate asbestos.
23 Literally, it dealt with a law that would
24 have required an aggregate firm to certify
25 that its material did not contain asbestos in

1 an amount greater than .01 percent by volume.
2 The local law defined asbestos as actinolite,
3 amosite, anthophyllite, chrysotile,
4 crocidolite and tremolite -- the six.

5 Several Maryland quarrying operations
6 filed a complaint asking for declaratory and
7 injunctive relief against Prince Georges
8 County. The Prince Georges County Executive
9 formed a task group to study the issue and
10 report back on the feasibility of the law.
11 The task group met 12 times over a 14-month
12 period to no avail. There was a labor
13 viewpoint and an industry viewpoint. The
14 task group had reached a stalemate, and it
15 wasn't going anyplace.

16 One interesting activity undertaken by
17 the task group was to submit a questionnaire
18 to 20 different laboratories soliciting their
19 ability to analyze aggregates in a manner to
20 certify compliance with the law. 13 firms
21 responded. None of them would certify that a
22 quarry could be warranted asbestos-free from
23 a sampling program, due to the heterogeneous
24 nature of a stone deposit. It was also
25 obvious that large errors were associated

1 with the measurements; and the smaller the
2 concentration, the greater the error.

3 It was agreed that the resolution of
4 this situation would be in the Circuit Court
5 of Prince Georges County. Three years of
6 debate, discussion and court hearings ensued
7 between the introduction of the initial
8 county bill and the final court action which
9 declared the law invalid. Legal fees alone
10 were more than \$75,000.

11 In June 1992, OSHA resolved the
12 actinolite, tremolite, anthophyllite issue --
13 after years of debate -- by finally admitting
14 there were two forms of these minerals. In
15 that admittance, I guess, they failed to
16 address the 3:1 aspect ratio for particles
17 greater than five microns.

18 I believe that these issues demonstrate
19 that OSHA and MSHA do not operate in a
20 vacuum, and local jurisdictions and
21 municipalities look to these agencies for
22 guidance in formulating safety and health
23 programs. The asbestos issues in 1986 and
24 subsequent laws subjected my firm to an
25 enormous amounts of unnecessary effort to

1 convince our customers, employees, and even
2 ourselves that our quarry products did not
3 contain carcinogenic asbestos. We were
4 required to become knowledgeable in
5 explaining the difference between true
6 asbestos and cleavage fragments, as well as
7 non-asbestiform AT&A.

8 Both of these extremely volatile
9 situations could have been avoided if the
10 agencies had properly defined asbestos. I
11 implore you to include in your new proposal a
12 proper mineralogical definition of asbestos,
13 and an adequate analytical procedure capable
14 of distinguishing more realistically between
15 cleavage fragments and asbestos. To do less
16 would lead to continued confusion.

17 Again, I want to thank you for the
18 opportunity to speak. And the next speaker
19 will be Mr. Alan Bowen, Director of
20 Operations of the Mineral Division of Cemex.

21 MS. SMITH: Thank you, Mr. Cole.

22 MR. BOWEN: Thank you. I also
23 appreciate the opportunity to participate in
24 this presentation as a member company of the
25 National Stone, Sand and Gravel Association.

Heritage Reporting Corporation
(202) 628-4888

1 For the record, my name is Alan Bowen. I
2 serve as Director of Operations for the
3 Minerals Group of Cemex, Incorporated. We
4 operate five plants in the Northeastern
5 United States that are involved in a mining
6 environment. All of these plants are subject
7 to regulation and inspection by the Mine
8 Safety and Health Administration.

9 It is important that I echo the
10 sentiment expressed here today that
11 preserving the safety of the personnel we
12 employ is paramount to our success as a
13 company. In fact, the four main
14 responsibilities I give all of our operations
15 to meet are to ensure the safety of the
16 workforce, maintain environmental compliance
17 of the facilities, adhere to the quality
18 standards we have set for our products, and,
19 of course, obtain the financial goals we have
20 established. I see these four legs as
21 equally important to our success. We are
22 pleased to be able to work with outside
23 organizations as resources to help us improve
24 in any of these four areas. For that reason,
25 we support MSHA's effort to establish new

1 standards as regards the exposure of any of
2 our people to real asbestos.

3 However, our recent experience at our
4 Sparta, New Jersey facility prompts me to
5 present these remarks as to how important
6 proper asbestos definition and analytical
7 methods are to determining whether or not our
8 employees are exposed to real asbestos
9 hazards. The mineral deposit available to us
10 in Sparta, New Jersey is a mixture of a
11 granite overburden and a limestone ore body.
12 The limestone is classified as part of the
13 Franklin limestone deposit. It has the
14 characteristic of a high purity calcium
15 carbonate limestone, but has been blessed
16 with a variety of other mineral inclusions
17 during its millions of years of formation.
18 These mineral inclusions actually detract
19 from the purity of the limestone, but part of
20 almost all naturally formed deposits. These
21 inclusions compose such a small percentage of
22 the total ore deposit that they do not
23 interfere with the normal operations of the
24 quarry, and do not have a measurable effect
25 on the end product quality. Consequently,

Heritage Reporting Corporation
(202) 628-4888

1 these included minerals are generally
2 processed as part of the limestone ore body.
3 This has been the case in this quarry since
4 it was opened by Thomas Edison in the early
5 1900s.

6 One of the known mineral inclusions is
7 the non-asbestiform habit of tremolite. This
8 mineral composes less than 1 percent of the
9 ore body. It is important to understand that
10 there is both an asbestos and a non-asbestos
11 form of this same mineral. The potential
12 harmful effects of the non-asbestos variety
13 of this mineral has been studied many times.
14 And the conclusion is that the non-asbestos
15 variety does not pose a health threat. The
16 almost hundred years of operation of the
17 Sparta plant support this conclusion, as we
18 have no history of plant personnel ever
19 experiencing health problems of the type
20 associated with known asbestos diseases. In
21 fact, we have several third generation
22 workers of the same family working in our
23 Sparta quarry. They would not be there if
24 they had had health problems in the previous
25 generations of their families.

1 So why was our quarry the subject of
2 such a public outcry a year ago, with claims
3 of posing a health threat to our community?
4 Why were we forced to spend millions of
5 dollars -- and I mean literally millions of
6 dollars -- to defend ourselves when we posed
7 no health threat to our employees, let alone
8 our community? Why are we still spending six
9 figure amounts to continue to prove we are
10 not posing a health threat to anyone? It was
11 really the result of improper interpretation
12 of what constitutes asbestos or asbestos form
13 minerals and improper analytical testing
14 methods.

15 Our tale of woe started as many urban
16 sprawl stories do, with the development of
17 very exclusive homes being built and
18 purchased near our quarry site. Then when
19 our neighbors occupied these homes, they were
20 made to discover they had just bought their
21 dream home next to a quarry that had been in
22 operation almost a hundred years. This led
23 to the typical complaints about blasting,
24 noise, and dust generation. Even though we
25 were not in violation of codes or

1 regulations, as good corporate citizens we
2 attempted to address those complaints with
3 modifications to our operations. We changed
4 our blasting patterns. We installed noise
5 suppression devices. We took steps to
6 decrease any fugitive emissions through
7 improved dust collection, road watering, even
8 curtailing operations in times of high wind
9 events.

10 Our level of public and regulatory
11 scrutiny greatly increased when our
12 neighbors, fueled by misguided emotion and
13 improper asbestos definition, claimed the
14 quarry posed a health threat to the community
15 because of tremolite in the ore deposit.
16 Continuing our role as a responsible
17 corporate citizen, we voluntarily conducted
18 stack testing to prove that there was no
19 threat to our workers, let alone our
20 community.

21 Unfortunately, we were not well enough
22 educated ourselves to understand the problems
23 that come from this improper interpretation
24 of what constitutes asbestos or asbestiform
25 minerals, and improper analytical testing

1 methods. The state agency's interpretation
2 of the stack test alleged that asbestos type
3 minerals were being emitted; however, after
4 careful review and analysis by our outside
5 expert, it was found that numerous errors
6 occurred in the collection, preparation and
7 analysis of the samples. In fact, asbestos
8 type minerals could not be confirmed.

9 Of course, by that time we were trying
10 to defend ourselves in the face of public
11 outcry and a state agency reacting to public
12 pressure. We had to employ the best experts
13 available, as well as conduct extensive
14 research, and go through multiple legal
15 battles that could all have been avoided had
16 we known ahead of time the pitfalls
17 associated with improper interpretation of
18 what constitutes asbestos or asbestiform
19 minerals and improper analytical testing
20 methods.

21 This is another real life example of
22 what can happen across the entire mining
23 industry if we do not set the proper
24 standards for asbestos definition and
25 analytical testing methods as we go forward.

1 We want our people to work safely, and we are
2 committed to providing safe work
3 environments. We appreciate outside agencies
4 and resources such as MSHA working with us to
5 help us achieve safe workplaces. But we must
6 take advantage of the best science available
7 to us to accomplish this goal, and avoid
8 spending money chasing problems that are not
9 real.

10 I'm supporting the recommendation of
11 the NSSGA in regards to MSHA's proposed rule
12 regarding asbestos. Our experience confirms
13 we cannot simply rely on the federal fiber
14 definition as a hazardous material. It is
15 critical to both the mining industry and the
16 efforts of MSHA that we use valid asbestos
17 definitions and proper analytical methods in
18 the new standard. Thank you very much.

19 It is my pleasure to introduce Dr. Ann
20 Wylie, Professor of Geology at the University
21 of Maryland.

22 MS. SMITH: Thank you, Mr. Bowen.

23 DR. WYLIE: Thank you very much. It is
24 a pleasure to be here and to talk to you
25 today. I've spent many years studying the

1 relationships between mineral fibers and
2 disease. I think you face a complex task by
3 changing the asbestos regulations because of
4 the interferences of the system in the mining
5 industry. So I'm hoping today that some of
6 the comments that I make will assist you in
7 the decisions that are ahead of you.

8 Could I have the first slide? The
9 membrane filter method is the method used to
10 monitor asbestos in the air. It was
11 developed in Great Britain in an asbestos
12 textile factory. The five micron minimum
13 length was based on reproducibility of
14 exposure estimates. That's where it came
15 from. They did studies on how they could get
16 the data from one analyst to another to be
17 consistent. It was determined if they
18 encountered asbestos fibers anything shorter
19 than five microns, they lacked
20 reproducibility.

21 MS. SMITH: Excuse me, Dr. Wylie.
22 Could you move your microphone just slightly
23 in front of you so it's not cutting out on
24 you?

25 DR. WYLIE: Okay. Sure. The 3:1

1 aspect was just arbitrary in this environment
2 just to allow ordinary dust. And I think
3 it's very important, when you think about
4 these regulations, to keep in mind that the
5 longer than five and the 3:1 are not
6 definitions. They never have been
7 definitions. They were counting criteria.
8 That's all they ever were. And that's all
9 they ever are today. They are not
10 definitions for asbestos.

11 Also, I think it's also important for
12 you to keep in mind that what was counted
13 then in Great Britain, and in monitoring
14 asbestos ever since, is an index of exposure.
15 It was never intended to be a comprehensive
16 assessment of the total fiber in the air.
17 It's an index of exposure. And it is not
18 specific for asbestos, because things like
19 cellulose and all kind of things satisfy
20 these criteria.

21 In the 1979 publication where the
22 membrane filter method was published by
23 NIOSH, the method says very specifically that
24 these should be counted in absence of
25 evidence to the contrary. So even in 1979,

1 there was a clear recognition that there were
2 things that could indeed be confused based on
3 these arbitrary dimensional characteristics.
4 And the NIOSH 7400 method says asbestos and
5 other -- I think they say fiber, but what
6 they really mean is what's loosely referred
7 to as federal fiber, five microns, 3:1 aspect
8 ratio particles. Mineralogically, these were
9 not fibers.

10 These data came from a paper by Virta,
11 et al. And they are length and width data
12 that come from air monitoring studies that
13 were done in the 1980s. The first one is
14 from the Homestake goal line. The second one
15 is from Peter Mitchell Pit. Dr. Ross
16 referred to that particular location. And
17 the third one was from one of the quarries
18 here in Charlottesville. And in these three
19 environments there is no asbestos; or if it
20 is present, it's in trace amounts. The
21 material that was collected on those filters
22 were not asbestos. They were cleavage
23 fragments of amphibole. These are three
24 environments in which amphibole is a very
25 abundant part of the rock, and not in the

1 asbestiform variety. Shipyard and electrical
2 refers to air monitoring studies that were
3 done in two industrial sites that were using
4 amosite asbestos.

5 And the mean length on those -- the
6 particles that were counted in this case were
7 based only on aspect ratio criterion, so 3:1
8 particles. All 3:1 particles were counted in
9 these studies. The mean length, you can see
10 that in the first place, the particles in the
11 shipyard and electrical are longer in their
12 average length than you would find in these
13 three quarries, but the ones in the quarries
14 are pretty long. I mean, they approach the
15 mean lengths. Now, this is the middle. So
16 they approach the five micron limit. And the
17 mean width in the quarries of these amphibole
18 particles is about one micron; whereas in the
19 shipyard and electrical it's less than half a
20 micron. And we model these populations.
21 It's possible to draw mathematical models
22 that show you the relationship between length
23 and width for any population of mineral
24 particles. The model with the 10 microns in
25 the cleavage fragments is greater than one

1 micron; whereas the model with the 10 microns
2 for shipyard and electrical remains at about
3 the mean width for the population. If you
4 look at the model with the two microns for
5 cleavage fragments it goes up to beyond two
6 microns -- almost three microns at that
7 length; whereas, again, in the shipyard and
8 electrical environment the mean length
9 remains approximately constant.

10 So there's a couple of points that I
11 want to make from this slide. I summarized
12 them in the next slide. Cleavage fragments
13 get wider as they get longer. And that's a
14 characteristic of them. Rock fragment,
15 amphibole particulate as they get longer they
16 get wider; whereas for asbestos, width is
17 essentially independent of length. That's
18 because of the nature of the way asbestos
19 forms. It forms as unit fibrils. They are
20 sort of a basic building block of asbestos.
21 All that happens with asbestos is they just
22 aggregate. But there are long particles with
23 narrow widths, and there are short particles
24 with narrow widths. But the widths are
25 pretty much constant and independent. And

1 again, that five micron length of 3:1 ratio
2 is not specific for asbestos. It is
3 inclusive of asbestos, but it's not specific
4 for it.

5 I've provided, to accompany my
6 testimony, a copy of an article that was
7 published some time ago entitled, "The
8 Importance of Width in Asbestos Fiber,
9 Carcinogenicity and its Implication for
10 Public Policy." Myself, Kelly Bailey, Rich
11 Lee and John Kelse were all in on that.
12 Basically, what we did was take all the
13 dimensional data that was published in the
14 literature anywhere we could find it, and put
15 it in kind of tabular form so that you could
16 see what we know about the dimensions of
17 asbestos, and what we know about the
18 dimensions of cleavage fragments. So
19 everything I knew about at that time is in
20 that paper. And I ask you to look at it
21 because you will see over and over and over
22 again the characteristics of asbestos, and
23 how constant they are -- whether they're in
24 bulk samples or airborne, whether they're
25 asbestos from South Africa or Australia, or

1 wherever. It's all very, very similar.

2 There is one other point that I want to
3 make, and that is that asbestos fibers that
4 are counted that are wider than one micron
5 are bundles of particles. It's the nature of
6 asbestos. These fibers of asbestos are
7 smaller than a micron in diameter. So when
8 they get to be the size of at least one
9 micron -- even smaller than that in many
10 cases -- but when they're at one micron you
11 can see that these are composite particles.
12 It's an important distinction to be made in
13 the analysis of asbestos. It's not part of
14 most of the population definitions because
15 they just don't record that kind of
16 information. But it is an important part.

17 Now, these are some of the data that
18 are in that paper I referred to. I'm going
19 to go through them fairly quickly just so
20 that you'll get an idea, again, of the kind
21 of things that I'm talking about. In column
22 A, the percentage of the population that were
23 actually longer than five microns. And
24 again, I want to show you there's a lot of
25 variability here. In some asbestos

1 populations only a small percentage are
2 longer than five microns; and in other
3 populations a lot is longer than five
4 microns. So this is a variable in
5 populations. And it will vary in the
6 location, in the use, in different parts of
7 an asbestos textile factory, different
8 applications of asbestos. The portion that's
9 longer than five and less than five is
10 variable, but it is certainly not inclusive.
11 You can see that here. These are bulk
12 samples of the main commercial types of
13 asbestos at the top, crocidolite and amosite,
14 chrysotile, and then a couple of samples that
15 are not mine commercial, but represent the
16 actinolite variety.

17 And the other characteristic is how
18 significant the width is. This material is
19 less than a half a micron in width. Of
20 course, it has high aspect ratio. I'm not
21 going to spend a lot of time emphasizing
22 aspect ratio today because we're going to
23 present to you a proposal to consider width
24 and length. So I want to concentrate on
25 those fundamentals.

1 This is bulk samples; again, SEM
2 characterization. And these are cleavage
3 fragments. And again, you'll see that there
4 is a proportion that's longer than five
5 microns. There is a proportion that has a
6 width less than one micron, less than half a
7 micron here, but it's not very much. It is
8 there, but it's not a characteristic of the
9 population. Some of these also have aspect
10 ratios that are greater than 20:1, but again,
11 not anywhere near the abundance.

12 So populations of cleavage fragments
13 and populations of fiber have distinctive
14 characteristics that enable them to be
15 distinguished.

16 Next slide. These are airborne data.
17 Again, look at the very small proportion that
18 is longer than five microns, and the
19 variability of this characteristic. This
20 particular dataset didn't have the width at
21 less than .5. It had less than .375. But
22 you can see, again, these are very, very
23 narrow materials, high aspect ratio.

24 Airborne cleavage fragments. Same
25 thing that you see in bulk. Actually, when

1 you look at airborne particles in bulk
2 population you see the same characteristics.
3 It's not as though you have something totally
4 different airborne than you would have in
5 bulk. You can tell a lot about what the
6 airborne population is like by looking at a
7 bulk population.

8 So if I were to summarize what I would
9 say about population characteristics, most of
10 them -- these are populations of longer than
11 five micron particles to start with, because
12 if you include the short ones that first
13 statement doesn't hold. But if you only look
14 at the ones that are longer than five, you
15 find that they are also longer than 10.
16 That's very characteristic. There's a lot of
17 long fibers. And they have very narrow
18 widths, less than half a micron or more. And
19 these are commercial asbestos, by the way.
20 They have high aspect ratio. Those fibers
21 that are wider than one micron are bundles.
22 About 50 percent are either fiber bundles, or
23 are both longer than 10 and have widths less
24 than one. The individual statistics here are
25 not as important as the fact that the

1 populations have very distinctive
2 characteristics that enable you to design
3 methods that can tell them apart.

4 Okay. The next one. The things that
5 I've been talking about have been recognized
6 by those who have looked at the false
7 positive dimensions of insoluble fiber --
8 durable fiber, it's called. There's a lot of
9 data and animal experimentation, inhalation
10 and implantation studies, cell studies, human
11 exposure that have led people who make this
12 their business to analyze these types of
13 things to come up with what dimensions are
14 actually likely to produce disease. And what
15 you see here, the only thing I know about in
16 the literature where people have taken a stab
17 at this sort of thing, you can see that the
18 lengths are longer than five microns, and the
19 widths are less than a half a micron in
20 general. Lippman has a .1, and he also has a
21 .2 to .8. He makes a distinction between
22 those and lung cancer. These are the
23 characteristics of asbestos populations, as
24 you might well imagine. But they are borne
25 out by all types of inorganic fiber studies

1 we see.

2 What about Libby? It seems to me that
3 everything that I've talked about so far has
4 been known for a long time. There's nothing
5 new that has been presented up there. But in
6 the background of these hearings there's a
7 spectra of what happened at Libby. Why does
8 Libby, Montana exist? What's going on there?
9 Has there been some failure, some lack of
10 understanding about the material at Libby?
11 Is there an issue there that we need to
12 understand in order to move forward?

13 And I want you to understand really
14 that at Libby the only -- one distinction is
15 that the type of asbestos there is
16 mineralogically properly called winchite, not
17 tremolite by winchite. But it is an
18 amphibole, and it's a very close cousin to
19 tremolite. So we know that. We know the
20 nomenclature of the specific mineral is
21 distinctive there from what we had known, and
22 was listed in the regulatory policy
23 elsewhere. Airborne populations -- but it is
24 asbestos. And it is common in the gangue
25 there. It is very abundant material. It is

1 not some small amount. There's a lot of real
2 amphibole asbestos present at Libby.
3 Airborne populations contain both asbestos
4 and some cleavage fragments. Dr. Lee is
5 going to present some data from there that's
6 going to make his point very clearly. And.
7 I'm going to present some data now from
8 Libby. I have two studies that were done at
9 Libby. One was done -- actually,
10 Dr. Chatfield recorded some of the original
11 data in 1980 under an EPA contract. It was
12 not air data. It was data that -- cephalin
13 techniques were used from the vermiculite,
14 both raw ore and from exfoliated material to
15 mimic what one would find in the air. And
16 the second set of data that I'm going to show
17 you is from EPA's air monitoring that's gone
18 on for the last couple of years up there. So
19 I'm going to look at the dimensions of the
20 populations that are airborne there so that
21 you get a feeling for it. And then the other
22 population that I'm going to show you -- the
23 other one, the one that's in the middle here
24 says lung tissue. These are the raw data of
25 Dr. Martha Warnock, who was a professor at

1 the University of California at San
2 Francisco. And she studied the dimensions of
3 material that was found in asbestos workers
4 who suffered either from mesothelioma,
5 asbestosis, or lung cancer. And the lung
6 cancer were from people who had high lung
7 burden of asbestos. So I want you to look at
8 the actual fiber dimensions of asbestos. Her
9 data, by the way, have all different types,
10 but it's dominated by amosite. In the lung,
11 how they compare with what's in the air or
12 lung -- modeled in the air from Libby so you
13 get a sense of how similar these things are.

14 So I've put a bunch of different
15 criteria up there just to try to give you
16 sort of a handle on this. Particles in -- in
17 the first slide, I'm going to look at all
18 widths. In the second slide -- don't change
19 it yet -- I'm going to look at widths that
20 are .125 microns in diameter. In the third
21 slide, I'm going to look at widths that are
22 .22 microns in diameter. And I'm making this
23 distinction because of what will you see on
24 phase contrast microscopy during air
25 monitoring? And there are two things that

1 are used to take dimensional data that are
2 gathered by TEM and try to understand what
3 would you see if you were looking at this
4 with an optical microscope. And the minimum
5 width is the thing that is usually used. But
6 there is visibility, and there's resolution
7 by optimal microscopy. And there's two very
8 different things. Visibility is about .125
9 microns for amphibole asbestos by the
10 presently used air monitoring technique. It
11 will vary. It depends on index of refraction
12 contrast. So it varies, but it's about .125.
13 Resolution is about .22.

14 So the thing to look for as I go
15 through these three slides is that the data
16 don't change at all, number one. There's
17 really very little difference in what you
18 take. You get the same sorts of
19 characteristics. I just need to be sure you
20 get why I've got the same thing over and over
21 again up here. We take all widths. You see
22 that Libby doesn't look exactly like amosite
23 asbestos in the lungs of asbestos workers.
24 It's a little fatter. It's a little shorter.
25 It isn't a real good commercial asbestos,

1 actually, that stuff at Libby. But it does
2 have the dimensional characteristics that are
3 asbestos in their character. So they have
4 widths less than a half. Most of the
5 particles have widths less than one. A
6 significant proportion are longer than 10,
7 and the width is less than a half. Variety
8 of different comparative data there, just so
9 that you can see.

10 Now, let's look at the visibility width
11 so we limit these to widths that are greater
12 than .125 microns. And all the other data
13 I've taken out. Oh, and by the way, there
14 are -- in terms of numbers here, there's --
15 from Martha Warnock's data there's 541
16 particles. From the Libby mine there's 484
17 particles. And there's over 1,800 particles
18 from the population in the Libby region. So
19 these are fairly large datasets that I think
20 represent fairly accurately what you're
21 looking at.

22 Next slide. And again, not much
23 changes here. They're less than one. They
24 have half a micron. Very abundant, and so
25 forth. So the stuff at Libby is asbestos.

1 It has the characteristics of asbestos. And
2 it doesn't need a new way of thinking about
3 it at all.

4 Next slide. This is just another
5 looking only at the longer than 10 micron
6 populations. Again, you see them.

7 So the lesson from Libby is that
8 asbestos is a major component. The
9 dimensions are similar to amphibole asbestos.
10 The amphibole at Libby can cause
11 asbestos-related diseases. One thing that I
12 haven't presented the data to support --
13 Dr. Lee will support it -- is that really all
14 the fiber at Libby is visible by phase
15 contrast microscopy because it's fairly wide.

16 The data that I've presented, and all
17 the data that we know about asbestos, say
18 that there are discriminate characteristics
19 that you could apply to an optical count that
20 would tell you whether you have the
21 likelihood of asbestos or not. And these are
22 some of the discriminating characteristics
23 that all populations of asbestos will share
24 in common, one or the other. Either half the
25 population is longer than 10, or they're less

1 than a half, or they have high aspect ratios,
2 they're longer than one, they're bundles, and
3 so forth. These populations have
4 characteristics that you could use phase
5 contrast microscopy to discriminate.

6 Next slide. I've already talked about
7 this. Next slide. I'm going to end with
8 just a little bit of discussion about bulk
9 analysis, why polarized light could be used,
10 because you specifically asked about that.

11 The bulk populations of asbestos have
12 distinctive characteristics that easily
13 enable you to tell whether they're asbestos
14 or not. This is an easy thing to do. All
15 mineralogists agree -- everyone who look at
16 bulk samples using polarized light
17 microscopy, whether they're asbestos or
18 whether they're not. And they have
19 population characteristics.

20 You asked specifically about methods.
21 The ASTM method was listed. The ASTM method
22 was adopted in gray sheets only. And I know
23 about this because I wrote it, the original
24 draft. It was then worked on by the
25 committee. But ASTM never finished it. I

1 provided you a copy of the last go-round so
2 you can have that for your records, but it
3 was never officially adopted by ASTM.

4 You asked about an EPA method. Perkins
5 and Harvey in 1993 developed a method that is
6 widely referred to by the EPA method, but it
7 was not formally adopted by the EPA, either.
8 I'm not sure what you had in mind, but this
9 is a very good method for asbestos-containing
10 building materials. Provides a good
11 approach.

12 NIOSH 9002, I would not recommend you
13 using it. It has some identification
14 information that is incorrect. But one thing
15 that you really need to be aware of is that
16 all these methods were designed for the
17 asbestos-containing materials -- not the
18 mining environment -- and that no method is
19 adequate to measure quantitatively amounts of
20 asbestos in low abundance. And all methods
21 need attention to the literature, and a
22 well-trained mineralogist familiar with the
23 mining environment to apply them correctly.
24 It's different from building materials, the
25 mining environment. And I think that's the

1 last slide that I had.

2 And Eric Chatfield is sitting next to
3 me. I have in my written testimony some
4 comments on TEM. I'm not going to make them
5 today. They're there for you to read.
6 Dr. Chatfield's comments overlap everything I
7 have written.

8 MS. SMITH: Thank you, Dr. Wylie.

9 DR. CHATFIELD: My name is Eric
10 Chatfield. I'm president of Chatfield
11 Technical Consulting, Limited just outside of
12 Toronto, Canada. I've been working in the
13 asbestos field for a considerable number of
14 years -- probably more years than I really
15 want to remember -- dating back to the
16 Reserve mining, dumping of material into Lake
17 Superior. And I believe I did the very first
18 airborne asbestos measurement in Canada,
19 which was taken at a school playground
20 outside of a Johns Manville operation. And
21 that was way back in around 1971.

22 Since then, we have been involved in
23 writing analytical methods. I'm chairman of
24 one of the international standards
25 organization committees which does develop

1 analytical methods for asbestos. We've
2 already published now three methods in the
3 national standards level.

4 Well, enough about me. I want to
5 address a number of issues that you have
6 raised in your proposed rulemaking. The
7 first comment I want to make is related to
8 Libby, and why we don't -- I'll start with it
9 first. In establishing any future regulatory
10 action, I think it's important to
11 discriminate between the Libby situation and
12 pretty well everything else. In looking at
13 vermiculite over the years, I've examined
14 vermiculite from Brazil -- two different
15 mining operations in Brazil -- Russia
16 vermiculite. I've looked at Russian
17 vermiculites, all the U.S. sources, and also
18 sources in South Africa. And I have never
19 seen anything even comparable. This Libby
20 situation -- the amount and nature of the
21 amphibole in Libby is, in my experience,
22 totally unique. So I think it's important to
23 recognize, though, that the conditions that
24 prevail in currently operated mines and
25 quarries are not really relevant to the Libby

1 situation, although I do recognize it was
2 Libby that precipitated MSHA's regulatory
3 action and proposals. And I believe that
4 that statement I just made, I believe it is
5 confirmed, to a large extent, by MSHA's
6 recent findings in which no measurements by
7 TEM were found to be .15 per cc, shows that
8 currently operated mining operations really
9 are different from what must have happened at
10 Libby.

11 In the selection of analytical methods,
12 I believe that a geological survey should be
13 done to determine whether asbestos is even
14 present. There's no point in sampling for
15 asbestos if there isn't any there. And I
16 believe a combination of TEM should be used
17 in the initial investigation to characterize
18 the airborne dust cloud in the mining
19 operation. I also believe -- even though I'm
20 a TEM microscopist, and I've made my above
21 living at it for the last 30 odd years -- the
22 last 50 years, actually -- I do not believe
23 that TEM is a method for routine monitoring.
24 And my basis for saying that is I believe
25 that regardless of its deficiencies, phase

1 contrast microscopy still offers the most
2 timely means to detect whether worker
3 exposures have exceeded the permissible
4 exposure limit. And I believe, therefore,
5 the health interest of workers are best
6 served by the continued use of PCM.

7 PCM analysis is widely available. And
8 you can even carry it out on site, if you
9 want to. It's easy to set up, easy
10 microscope. Preparation of sample filters
11 for PCM examination takes about 15 minutes.
12 An examination takes about another 15. So
13 after you -- if you analyze something on
14 site, the results of those analyses can be
15 available within about an hour of finishing
16 the sampling.

17 Now, by comparison, if you do TEM
18 analysis, they have to be transported to a
19 TEM lab. Preparation -- and I will emphasize
20 a valid TEM evaluation of one sample I
21 believe cannot be completed in less than
22 three to four hours. Moreover, many TEM labs
23 are operating with one instrument where it's
24 a simple matter -- and a relatively
25 inexpensive thing -- to establish more than

1 one PCM microscope.

2 Now, having done an initial
3 site-specific study which asbestos -- at a
4 place where asbestos is known to be present,
5 then I believe the parallel analyses by the
6 same filters by TEM and PCM could develop a
7 database which allows you to look at the size
8 fraction of fibers that you're dealing with,
9 the nature of the dust cloud. If those
10 allowances are conducted correctly, it's my
11 experience there's no reason to expect that
12 the results would be different from the two
13 methods, if the same size fraction fibers are
14 measured; in other words, you go to TEM. You
15 measure the same size fraction of fibers as
16 are detected by PCM. The results should
17 agree. And those places where I've done that
18 kind of work, they do agree. I mean, there's
19 some scatter, of course, but not significant.

20 The TEM analysis permits you to
21 discriminate on the basis of a composition
22 and crystallographic structure between
23 different types of crystalline fibers that
24 may be present. After you've characterized
25 the nature of the airborne dust cloud in an

1 operation, I believe you should then carry
2 out monitoring using PCM. And the only
3 circumstance that would warrant using TEM
4 after that would be to determine the
5 proportionate asbestos fibers in a PCM count
6 when the PEL is exceeded. And that, to me,
7 is basically the fundamental approach taken
8 by NIOSH in the publication of NIOSH 7402,
9 which is basically a proportion measurement.

10 Now, with regard to the feasibility,
11 availability cost of commercial TEM
12 analysis, that is one of the questions that
13 you have in -- I have a particular interest
14 in that kind of thing because I do operate a
15 TEM lab. I operate a TEM lab, but I also
16 operate with polarized light microscopy and
17 phase contrast microscopy. I do not believe,
18 as a practical proposition, to specify TEM
19 analysis of all occupational -- there is an
20 exception that TEM analysis now is quite
21 inexpensive and widely available. But in
22 reality, very few commercial TEM labs are
23 competent to perform valid analyses of the
24 complicated mineralogical mixtures that you
25 find in mining and quarrying operations.

Heritage Reporting Corporation
(202) 628-4888

1 Many TEM and PLM labs were established
2 in response to activities related to asbestos
3 in building products. The accreditation
4 programs operated solely is to control
5 quality of analyses related to asbestos in
6 manufactured building materials in U.S.
7 school buildings. These analyses are very
8 straightforward, and involve only the six
9 regulated asbestos types. The majority of
10 the analyses, in fact, involve only the three
11 most common asbestos types -- chrysotile,
12 amosite and crocidolite.

13 Now, the low prices for PLM and TEM
14 quoted by many labs reflect the simplicity of
15 the analysis being performed. The low prices
16 are based on the use of the AHERA analytical
17 method for determination of airborne asbestos
18 in U.S. school buildings. The vast majority
19 of these samples are comparable with blank
20 samples, with very few asbestos fibers
21 present to report or measure or identify.
22 And there's usually very little particulate
23 on the filter at all. Therefore, they're not
24 difficult to count.

25 The other thing is if a sample

1 obviously contains a large amount of
2 particles or fibers to be identified, the
3 sample is actually rejected automatically at
4 first sight. No amount of analysis -- where
5 there's a lot of fibers to count and measure
6 because the area is obviously dirty -- the
7 information is passed directly to the
8 contractor that you better go and ahead, or
9 I'm going to take more samples. So the
10 analysis of a heavy sample is never done. So
11 a lab can therefore handle these very simple
12 and very clean samples at this reduced price.

13 The other point about accreditation I
14 wish to make, the accreditation of status of
15 a TEM or a PLM lab is unrelated to the
16 ability of the TEM or PLM lab -- analysts, I
17 beg your pardon -- to perform analyses of
18 these complex -- such as these that exist in
19 mines and quarries. And I mean to illustrate
20 this comment with three examples which are my
21 own power stories.

22 I've recently examined samples from a
23 new vermiculite composite where the owners
24 were looking for funding to pay for the mill,
25 and to get the operation moving. They were

1 looking for investment. These vermiculite
2 samples which were taken were reported by two
3 accredited commercial TEM labs to contain
4 chrysotile asbestos. One of the labs
5 reported chrysotile concentration of
6 0.4 percent. The detailed electron
7 diffraction analysis of the material showed
8 that the fibers reported as chrysotile were
9 all, in fact, a variety of lizardite -- which
10 is another serpentine mineral -- which
11 exhibited a peculiar scrolling arrangement.
12 I did, in fact, get Dr. Fredwicks involved in
13 this, who is -- he's the head of Earth
14 Science at the Royal Ontario Museum in
15 Toronto. He is one of the world experts in
16 minerals. And I got him to help. And
17 eventually between taking the diffraction
18 patterns and analyzing them, we show that
19 there was no evidence of chrysotile in this
20 vermiculite at all. The erroneous analyses
21 originally by the two TEM labs could have
22 resulted in abandonment of this mine. And it
23 was necessary for the company to make
24 significant expenditures to resolve this
25 problem. I believe it probably cost them

1 close to \$50,000 to get this simple analysis
2 dealt with.

3 The second example you already heard
4 About from Alan Bowen regarding the marble
5 quarry in New Jersey, contains very low
6 concentrations of non-asbestiform tremolite.
7 Following complaints from the State of New
8 Jersey from recently arrived residents who
9 built homes adjacent to the quarry, stack
10 tests were performed to measure if there were
11 any emissions of tremolite in the stack
12 emissions. That was from the crushing and
13 drying operations that were going on. Test
14 samples were analyzed by an accredited TEM
15 lab. The results of the analyses include the
16 tremolite fibers up to 200:1 aspect ratio,
17 which were interpreted as indicating the
18 presence of asbestos. It was only after the
19 state requested a listing of the fiber aspect
20 ratios that I noticed a discrepancy between
21 the lab records and the data submitted to the
22 state. The data discovered during the lab
23 visit that the measurement of fiber
24 dimensions was such an unusual activity in
25 that regard that the TEM operated was

1 required to calculate the fiber dimensions
2 using a hand calculator while sitting at the
3 microscope. Calculation errors were being
4 made, and many fiber aspect ratios were
5 actually a factor of 10 lower than they were
6 actually recorded. The day following this
7 discovery a court hearing was held in which
8 the state was requesting that the quarry be
9 closed. It was only by presenting a
10 certification containing the corrected data,
11 and a valid interpretation of it, that
12 closure of that quarry was averted.

13 In another example illustrating the
14 unreliability of TEM analysis by commercial
15 labs when dealing with these complex
16 mineralogical mixtures, an NVLAP accredited
17 commercial TEM lab reported that a sample of
18 talc contained 8 percent anthophyllite. A
19 combination of PLM and TEM showed
20 anthophyllite was certainly present, but only
21 trace levels well below 1 percent.

22 So there we've got examples -- the
23 fundamental problem is that the individual --
24 it isn't a question of the individual lab;
25 it's a question of the individual analyst,

1 and the level of training and knowledge that
2 exists in the individual analyst. And
3 unfortunately, that training is simply not
4 there.

5 Moving on to PCM methods, interference
6 and method modifications. Among the modern
7 published methods for PCM analysis, two PCM
8 methods published by governmental agents of
9 the U.S. are NIOSH 7400 and OSHA Method ID
10 160. Now, the International Organization for
11 Standardization, ISO, has also published a
12 PCM method known as ISO 8672. Now, for some
13 time, ASTM also published a PCM method which
14 was ASTM D4240, but this has lapsed, and is
15 currently being rewritten. I've said that it
16 will be produced in due course as soon as I
17 have time to do it.

18 Unlike the NIOSH method 7400, or OSHA
19 ID 160, 8672 requires that a fiber thicker
20 than three microns is not counted. You throw
21 those out because they're not respirable.
22 That's the rationale for that. And the TEM
23 method -- the direct transfer TEM method --
24 ISO 10312 applies the same criteria in
25 counting the so-called PCM equivalent fibers.

1 The same criteria throw out the fibers
2 thicker than three microns, or throw out the
3 fibers which are in contact with particles
4 thicker than three microns on the basis that
5 the entire assembly is not respirable. The
6 rationale basically is that fibers too large
7 to be respirable should not contribute to an
8 exposure measurement.

9 The PCM method as mentioned earlier was
10 originally intended for the routine
11 monitoring of worker exposure in the asbestos
12 textile industry where asbestos is known to
13 be present. Any fibers you find could be
14 assumed to be asbestos. The fiber criteria
15 was selected rather arbitrarily to provide
16 discrimination between obvious fibers and
17 fragments of other minerals which are mostly
18 random or equant in shape. The airborne dust
19 in other types of mining and quarrying
20 operations can be very different, in that the
21 numerical concentration in asbestos fibers,
22 if asbestos is present at all, is low
23 compared with that of the other types of
24 particle. And unfortunately, crushing of
25 these non-asbestiform minerals, and even

1 things such as -- if you crush them up you
2 get large numbers of particles which qualify
3 as fibers under the 3:1 aspect ratio rule.
4 They constitute an interference in the
5 current PCM methods when applied to monitor
6 airborne dust in non-asbestos mining and
7 quarrying. Using the current PCM fiber
8 counting criteria, cleavage fragments are
9 reported as fibers, even when there's no
10 asbestos present at all.

11 In any revision to this PCM method,
12 there are two actions that MSHA could take
13 which would result in a fiber counting method
14 directed toward monitoring worker exposure in
15 mining and quarrying operations. One would
16 be to bring the PCM fiber counting method
17 into light with current national standards by
18 incorporating the criteria to reject fibers
19 thicker than three, and fibers in contact
20 with particles larger than three microns in
21 diameter. The second thing would be to
22 modify the fiber counting criteria to make
23 them more specific to asbestos, which would
24 have the effect of reducing the interference
25 by cleavage fragments.

1 Fibers of non-respirable dimensions
2 clearly should not be included in the
3 measurement of exposure. And the first
4 criterion, the rejection criterion, would
5 specify that such fibers would not be
6 counted.

7 Fiber counting criteria could be made
8 more specific for asbestos by taking into
9 account the length to diameter relationship
10 exhibited by asbestos fibers, particularly
11 airborne asbestos fibers. The diameters of
12 airborne asbestos fibers and asbestos fiber
13 bundles generally increase only very slowly
14 with increasing length; whereas the wet
15 cleavage fragments of non-asbestiform
16 minerals show a proportionate increase in
17 width as they get longer. Airborne asbestos
18 fibers collected and examined by PCM methods
19 are generally thin. When they are thicker,
20 they are fiber bundles which usually exhibit
21 asbestiform morphology.

22 To make the measurement more specific
23 to asbestos, the fiber counting criteria
24 should be modified (1) to include all fibers
25 that exhibit obvious asbestiform morphology;

1 i.e., fiber bundles, curvature, splayed ends,
2 clusters; (2) to include any fibers for which
3 the asbestiform or non-asbestiform nature is
4 ambiguous and cannot readily be determined;
5 and (3) to exclude all mineral fragments of
6 discernible width that exhibit cleavage
7 characteristics.

8 These changes would result in rejection
9 of many other types of non-asbestiform
10 mineral particles, and provide a more
11 meaningful measure of the asbestos
12 concentration in the special environments
13 that MSHA regulates. In adopting fiber --
14 modified fiber counting criteria for the
15 special situation in mining and quarrying,
16 MSHA would not be the first agency to apply
17 selective fiber counting in measurements of
18 asbestos concentrations. In fact, all
19 current PCM methods, or any selective fiber
20 counting, in fibers shorter than or equal to
21 five micrometers are disregarded. OSHA also
22 recognizes the concept of selective fiber
23 counting.

24 The alternate differential counting
25 techniques are available, and they may

1 include primary discrimination based on
2 morphology, polarized light analysis of
3 fibers, or modification of PCM data by SEM or
4 TEM. That language is actually in the OSHA
5 ID 160 Method. But it does say that a great
6 deal of experience is needed -- is required
7 to routinely and correctly perform
8 differential counting.

9 Another agency, EPA, is using also
10 modified procedures. Just to illustrate the
11 differential counting, that's a PCM slide
12 containing mineral walls. And you see that
13 the mineral wall could be recognized because
14 generally they're rather thick, and generally
15 they are a cylindrical section which you
16 actually see quite well when you move the
17 focus up and down on these slides.

18 Gypsum tends to be recognized because
19 you see the bottom particle there has a fiber
20 which is more than 3:1 aspect ratio, but you
21 see the ends are out at an angle. And that's
22 very characteristic of gypsum. So with a bit
23 of mineralogical knowledge, you can do
24 discrimination of these.

25 Going on to TEM, we have measurements

1 of the Libby site being made. The EPA is
2 currently making environmental measurements
3 using -- counting fibers longer than five and
4 thinner than 0.5 microns. Those are the only
5 size fractions being counted. And in these
6 analyses special consideration is also being
7 given to fibers longer than 10 and thinner
8 than .5.

9 Now, the decision to include only the
10 size range of fibers is based on experimental
11 work that shows that graphs -- the incidence
12 of lung tumors was related to long, thin
13 fibers. And the actual lab data from the rat
14 study came out as longer than 40 microns and
15 thinner than 0.3. In determining a suitable
16 risk protocol, EPA elected to relax that --
17 and somewhat arbitrarily, I might say -- to
18 longer than 5 and thinner than .5.

19 Now, moving on to bulk sample analysis,
20 you did address your question of bulk samples
21 in the "Federal Register." A few comments I
22 wanted to make about doing bulk samples. The
23 four analytical methods -- EPA, ASTM, OSHA
24 and NIOSH -- they're fundamentally based on
25 the same principle. And they're almost

1 identical. And we did hear this morning
2 about the British method -- which, again, is
3 polarized light microscopy as the basis. In
4 fact, the EPA method is currently the most
5 versatile of these published analytical
6 methods in that it includes some but not all,
7 perhaps, of the procedures of gravimetric
8 matrix reduction. If you're dealing with
9 asbestos concentrations in the vicinity of
10 below 1 or 2 percent, it's, in my opinion,
11 absolutely essential to use matrix reduction
12 to get accurate results. It's an
13 indispensable component. Depending on the
14 nature of the skill of the analyst, without
15 gravimetric matrix reduction, it can fail to
16 detect gross concentrations in asbestos. The
17 reason for that is even if you consider the
18 Libby situation, what you will find with the
19 vermiculite with the Libby -- what we used to
20 call tremolite, but we now call it winchite,
21 in it the bulk of the weight is represented
22 by some very large fiber bundles, which are
23 widely spread in the vermiculite. So if you
24 detect -- grind it up and put it under a
25 microscope slide, you will either see or you

1 will not see one of these big ones. So the
2 only way of dealing with this situation is to
3 take the large sample, get rid of most of the
4 vermiculite, and then have a look at what's
5 left. Then you're in a much better
6 situation, because you're perhaps only
7 looking at 10 percent of the original weight.
8 You've got rid of stuff which identifies
9 vermiculite. That particular situation is
10 very easy because you can exfoliate and make
11 it float on the top of the water. It's very
12 straightforward.

13 But nevertheless, there are a number of
14 gravimetric procedures that are ambiguous.
15 The mining and quarry samples you can
16 accurately quantity the concentration only by
17 using the gravimetric matrix reduction
18 method.

19 I've already dealt with the fact that
20 the ASTM method really was only a suggestion,
21 and never really got forward and published.
22 But in general, one comment I want to make on
23 this topic is that TEM is not a suitable
24 method for determining the concentration of
25 asbestos in bulk samples. Asbestos is

1 present in the products of mines and
2 quarries, is often presents as sporadic large
3 fiber bundles widely disbursed in the
4 material. They're often too large to appear
5 on the specimen grid. The TEM specimen grid
6 is 3-millimeters in diameter. If you have a
7 fiber bundle in every hundred grams of
8 product which -- and that fiber bundle is
9 half an inch long, you're never going to see
10 it on a TEM sample. You just never will get
11 to it.

12 So PLM is by far the best approach --
13 in fact, I believe the only approach to bulk
14 analysis. The way you do that is start with
15 a large sample, and remove as much of the
16 non-asbestos material as possible before you
17 go to the microscopy. Once you get there,
18 then the amount of asbestos remaining in that
19 residue, which may be 10 percent or less than
20 the original sample weight, is readily
21 quantified if you use size selective points.
22 TEM is useful in bulk analysis to identify
23 fibers where you have some doubts, as opposed
24 to the optical work. Certainly it's very
25 simple to identify fibers on the TEM if

1 you're having some problems optically. But
2 the other place where it's useful is to
3 demonstrate the absence of asbestos because
4 if you go through your gravimetric matrix
5 reduction and you've got residue, you can --
6 it's a homogenized residue from a large
7 sample, and you can then make up a TEM grid
8 from that very simply. If you don't see any
9 asbestos on that grid, it's a very good way
10 of confirming the absence.

11 In fact, one of the things that I
12 should say here in conclusion to that is that
13 I believe TEM analysis of untreated samples
14 are generally misleading. And because of the
15 small sample size that you have to use, and
16 it's an inappropriate method for the majority
17 of these types of samples.

18 One of the other questions you did
19 address, or did ask questions on, was
20 selective removal of mineral dust from air
21 samples. Now, in general if an air sample
22 contains a large proportion of minute
23 particles, little can be done to remove the
24 non-asbestos particles, but there are some
25 exceptions.

1 In samples which I collected -- air
2 samples I collected roughly a week after the
3 World Trade Center disaster, I had air
4 filters which were very, very heavily loaded.
5 They were collected in some apartment
6 buildings. The loading was gypsum. And I
7 did find that one of the techniques that can
8 be used is to extract the gypsum with water.
9 So you could put it onto a Jaffe washer with
10 water for a period of a day. And at the end
11 of the day you've got no gypsum there. The
12 rest of the sample then could be analyzed
13 very easily. And the same with marble and
14 calcium carbonate; you can remove it with
15 hydrochloric acid without any major -- that's
16 the key thing. I didn't want to do any
17 analysis on any of these things.

18 So with those two kinds of exceptions,
19 you can do something. But in general, I
20 don't believe there's anything that can be
21 done other than taking -- doing it that way.

22 The other thing you could do in that
23 case is if you have to drop the air volume,
24 then your analytical sensitivity is going to
25 be worse. You're going to run into the

1 situation where one fiber equals one fiber
2 per cc, which is not very good. So what you
3 can do, then, is to extend the fiber count
4 and do a little more area on the PCM filter.
5 Again, there's a limit as to how much of that
6 you want to do because it gets to be a very
7 long fiber count. So I think that's
8 summarized on the slide, really. There's not
9 much you can do unless you've got soluble
10 fibers to remove.

11 I hope these comments are going to be
12 useful to you. And I wish you the best of
13 luck in your deliberations.

14 Now I'll pass the microphone down to
15 Dr. Richard Lee, who will continue. He's
16 president of the R.J. Lee Group.

17 MS. SMITH: Thank you, Dr. Chatfield.

18 DR. LEE: Might I suggest about a
19 five-minute stretch?

20 MS. SMITH: Yes, you certainly may.

21 Let's come back in about 10.

22 (Off the record, 2:53 p.m. to 3:04 p.m.)

23 MS. SMITH: We're back on the record,
24 Dr. Lee.

25 DR. LEE: Thank you. And thanks for

1 the break.

2 MS. SMITH: Thank you.

3 DR. LEE: As you probably all know by
4 now, my name is Richard Lee. And I'm very
5 pleased to have the opportunity to address
6 your panel in its consideration of the issues
7 and ramifications of any change in the PEL.

8 I'm here on behalf of the Association
9 from the Sand and Gravel and Aggregate
10 producers. I'll be testifying today on the
11 basis of my knowledge of the literature, my
12 personal research which spans about two
13 decades, and my personal experience and
14 knowledge of the issues involved in fiber
15 counting.

16 You've heard -- in fact, both Eric and
17 I probably got our start -- a large part of
18 our start in this business at the time of the
19 Reserve mining case. I was a brand new Ph.D.
20 at United States Guild Research when Mount
21 Sinai researchers announced their finding of
22 amphibole asbestos in Lake Superior water.
23 We rapidly found out two things: We didn't
24 know anything about it, and neither did
25 anybody else. A whole set of issues have

1 developed because of the application of
2 historical definitions into the electron
3 microscope, and the use of the terminology,
4 and the aspect ratios and sizes created a set
5 of problems that persist today. They're
6 responsible for the errors and mistakes that
7 have caused various companies and individuals
8 substantial money, shut down organizations
9 like Reserve because of these definitional
10 issues. They will surely create -- pop up
11 more frequently with any reduction of the PEL
12 to a point where the dose you're trying to
13 measure is not substantially different than
14 the background concentration of the
15 interference. That is one of the critical
16 issues facing a very -- bringing the PEL down
17 to a very low level.

18 I've provided -- I will provide the
19 slides I use today. I will also provide
20 copies of the testimony I gave at the OSHA
21 hearings in '92, because not much has
22 changed, in reality, as Dr. Wylie and
23 Dr. Chatfield pointed out. Unfortunately,
24 the topics that were addressed there are
25 coming up again, and will come up repeatedly

1 because the cutbacks in RND in both corporate
2 and agencies have sort of diminished the
3 corporate -- collective corporate memory. So
4 we keep rediscovering and reinventing issues.
5 So I think any change you make, you need to
6 be sure to formalize what materials you're
7 going to characterize, and the operational
8 definitions of their characterization in the
9 laboratory in a manner that hasn't been done
10 previously. This is probably the most
11 important thing of the action of the
12 regulator in creating an ongoing corporate
13 memory. How do we do this? We know how.
14 It's we keep -- people get old, go away. And
15 the next generation has to reinvent it.

16 I do not intend to comment on the
17 merits of changing the PEL. I would like to
18 point out that the PEL, as Dr. Wylie and
19 others have pointed out -- and the use of PCM
20 data is that of an index. It's not an
21 absolute measure of concentration. It's an
22 index which has been related to the
23 characteristics of a disease population, and
24 characterizes dose responses. We know full
25 well that there are particles that are in

1 that exposure that aren't being characterized
2 by that measurement. But as long as we have
3 a qualitative and quantitative index relating
4 that to dose response, we really don't care.
5 But as the PEL is lowered, these factors,
6 these interferences from cellulose fibers,
7 other minerals, from cleavage fragments,
8 become more important.

9 If you go back to the Libby situation,
10 today the average PCM, airborne concentration
11 is .003 fibers per cc. That is reduced by
12 merely two orders of magnitude if you take
13 out the interferences. So the interferences
14 constitute a very large part of that
15 concentration.

16 I will also not speak in any detail to
17 the OIG's recommendation that TEM be used as
18 a primary screening technique.

19 Dr. Chatfield's comments I just support and
20 endorse. You can find lots of references in
21 the literature to the problems. But I would
22 make these points, some of which echo
23 Dr. Chatfield's comments: Number one, the
24 average TEM laboratory is only equipped to
25 measure chrysotile concentration, and then

1 only in specialized situations; number two,
2 properly done, PCM and TEM will provide
3 equivalent measures of the index of
4 concentration. They are both microscopes.
5 You see -- you look in them, and you see
6 things. It's a matter of what Dr. Wylie
7 talked about, the -- what did you say, Ann?

8 DR. WYLIE: Visibility and resolution.

9 DR. LEE: Visibility and resolution.
10 That's it. Other than that, they both form
11 images generated by a source. And you don't
12 look -- you look at those images with your
13 eye. So the fundamentals don't change
14 between those two. And that's a very
15 widely-held misconception.

16 Number three: The properties of
17 asbestos that make it biologically relevant.
18 Mainly it forms in long, thin fibrils. And
19 its typical characteristics in the atmosphere
20 are long, thin particles; and therefore you
21 can handle them. Also permits you to use a
22 discriminate counting technique that could be
23 employed on a very reliable rugged basis by
24 people with limited skill sets. And in the
25 industry we need to consider that. In the

1 agency, you need to consider that.

2 Number four: The cost of TEM analysis
3 properly done is going to be at least 10 to
4 20 times the cost of PCM discriminate
5 analysis properly done. As we lower the PEL
6 that we're attempting to measure, it becomes
7 more important that we increase the frequency
8 of monitoring. Because the concentrations
9 that we're trying to measure are being
10 reduced, the natural variability in those
11 concentrations increase. So in an attempt to
12 get a reproducible index of the dose a person
13 is receiving, we need more, not fewer
14 measurement. Cost becomes an issue.

15 So for that reason, the consideration
16 of the use of a simple discriminate counting
17 technique, which will then enable more
18 sophisticated analysis to determine whether
19 or not you're getting an asbestos exposure,
20 is significant. And that's why we, the
21 technical expert on behalf of the Sand and
22 Gravel and Stone Association -- I never
23 remember what order -- on behalf of those
24 guys -- are really recommending cleaning up,
25 modernizing the definitions of PEL, and

1 instituting a reliable screening procedure
2 that lets the concerned industrial hygiene
3 professional, self-help health and safety
4 professional or regulator determine the
5 likelihood that there is an exposure going
6 on.

7 I'll now turn to the topics covered in
8 the slides. And if the panel would permit,
9 I'd like to stand up. And I'll hold the
10 microphone so the court reporter gets it.

11 I want to -- because I think
12 organizations have short corporate memories,
13 my suspicion is some members of the panel and
14 certainly some members of the audience have
15 not been introduced to the mineralogy and
16 chemistry -- other than the four or five
17 times today that you've heard about. I'd
18 like to just briefly address the past OSHA
19 rulings, the '92 ruling that ultimately
20 eliminated ATNA cleavage fragments from
21 consideration. And I've been extensively
22 involved in the Libby, Montana situation. So
23 I'd like to make some comments on that.

24 In the last extension notice that came
25 out, the Stone Association sponsored some

1 sampling in various quarries to which we
2 applied some discriminate counting
3 techniques. So I'd like to report on those
4 results. And finally, present the
5 discriminate counting technique that we're
6 proposing for your consideration.

7 Asbestos is forms of a mineral. This
8 is chrysotile. It's what everybody in the
9 country in TEM -- and for the most part
10 optical labs -- count because that's what's
11 used in ceiling materials and insulation
12 jobs. It has a wide market. It's
13 characterized by a very specific chemistry of
14 magnesium and silica. The techniques we have
15 available to determine whether this
16 includes -- energy x-ray spectroscopy, which
17 tells us what elements are present; pictures,
18 which tell us the morphology or shape
19 characteristics of it; electron diffraction,
20 which tells us, if we interpret it correctly,
21 what the crystal structure is. And this was
22 a point Eric was making earlier about failure
23 in some situations to properly interpret
24 electron diffraction patterns leads to
25 misinterpretation and misidentification.

1 Finally, the one -- the optical image which
2 is polarized light microscopy. Of these, in
3 one sense the most reliable is the one
4 requiring the most sophistication, the
5 polarized light microscope, because you learn
6 how to recognize things by what you see, not
7 what you can measure.

8 Just briefly, here is the six regulated
9 minerals, tremolite, similar things. I'm not
10 trying to train you on what these are.
11 They're there. If you ever want to become a
12 TEM analyst, you can use these for your
13 reference. Anthophyllite, amosite. Each one
14 of these, the pattern -- diffraction
15 patterns, the crystal can be tilted up to
16 give you a very specific diffraction pattern.
17 It will exhibit, under certain conditions,
18 very specific optical colors and properties.
19 And they have specific chemistries.
20 Crocidolite, which is not much of a factor
21 except in the cement type industry.

22 Related to these is -- if you're
23 chrysotile, it's identified as a mineral. So
24 we really in general don't have a debate
25 between cleavage fragments and amphiboles

1 because its cousins are -- cleavage rock
2 forming cousins are minerals, and they have
3 uniquely different structures in general.
4 When you get to the amphiboles, they are not
5 unlike chrysotile and amphibole, but they're
6 not recognized as separate minerals. But
7 therefore what happens is people see
8 tremolite or actinolite. And if it's three
9 times longer than wide, and they don't know
10 what they're doing, they've got to question
11 whether or not it's asbestos. And you'll get
12 that debate.

13 The difference between them -- I was
14 trying to think about it this morning -- this
15 may not be a good analogy, but if you
16 visualize a map of the United States -- the
17 different states -- and at the time you're
18 forming the country you're trying to decide
19 whether we're going to be a union in which we
20 have to physically break those boundaries,
21 because they're growing together, they were
22 an integral part of each other, or whether
23 those were going to be loosely connected,
24 each state was going to be its own thing. In
25 an asbestos body, each fibril that makes up

1 an asbestos fiber is its own thing. You
2 separate it. You don't have to break it. In
3 the cleavage, those boundaries that you can
4 see between the different grains are, in
5 fact, boundaries. So they are -- they have
6 to be broken that will produce long, thin
7 particles. Nature is not always perfect, or
8 at least our view of it isn't. You can get
9 mixed asbestos and non-asbestos actinolite
10 and tremolite -- any of these minerals. In
11 the extreme case, it's simply obvious: Long
12 and thin versus short and fat are never going
13 to make an asbestos fiber. Just isn't going
14 to happen. But that -- as you reduce the
15 size and get smaller and smaller, that
16 difference you see in the optical microscope
17 gets harder and harder to resolve.

18 In the TEM, you still do -- this is a
19 TEM picture -- you still do get that
20 characteristic. And if you use the same
21 scale like in the next slide, the difference
22 is still obvious. You see the cleavage
23 fragment is irregular, has that tapered end
24 that Dr. Chatfield mentioned in the gypsum,
25 and the way it was broken. If you flip back,

1 you see none of those characteristics in the
2 TEM of the asbestos fibril. It's bent, its
3 curvature. It's actually the same set of
4 properties that Dr. Wylie put up as the
5 definition of asbestos.

6 Finally, we can separate one advantage
7 in electron microscopy is that we can
8 actually separate -- use our chemical
9 differentiation application to separate
10 minerals out and discriminate, say, talc from
11 tremolite where or other asbestos where the
12 morphology in the PL, or whatever, may
13 confuse you.

14 Now, I want to take a look at what OSHA
15 concluded -- at least my understanding of
16 what OSHA concluded in 1992. They examined
17 the whole question of tremolite,
18 anthophyllite and actinolite. You heard
19 about the lengthy debate and discussion.
20 Based on the testimony presented to OSHA,
21 they cited -- and therefore I say determined.
22 I don't know if that's technically a legal
23 word or not -- conclude or didn't disagree
24 with -- which I assume they would have had
25 they not been determined -- first of all,

1 that the scientific literature -- and they
2 cite Dr. Wylie's findings in there
3 extensively -- that high aspect ratio thin
4 particles were biologically relevant; that
5 you can, in fact, discriminate asbestos and
6 non-asbestos particles; and that they should
7 be defined separately for regulatory
8 purposes. I believe that if MSHA moves the
9 PEL, it's important that they adopt this as a
10 minimum. They use Dr. Wylie's slide to
11 define what are the characteristics of the
12 population. This is also a slide the ASTM
13 uses in their definition, and the EPA method
14 that Dr. Chatfield cited -- used.

15 They also further evaluated an optical
16 discriminating counting that we had provided.
17 They did not endorse it. They recognized
18 that it existed. I just believe they didn't
19 go far enough. What was done with that in
20 order to enable that counting -- and this is
21 important, because it's the difference
22 between just counting everything that's three
23 times longer than it is wide, and counting to
24 make some discrimination. If you listen
25 carefully to Dr. Chatfield, he was really

1 saying that below some number -- and he
2 didn't particularly cite it -- but below
3 about a half a micron -- optical fibers,
4 optical images start to lose their
5 distinctive shape characteristics, and start
6 to become lines. That's about a half a
7 micron.

8 So if -- and as Dr. Wylie pointed out,
9 individual fibrils is thinner than one
10 micron. So there's a graticule -- which has
11 a half micron wide line, one micron wide
12 lines, five microns in length, five micron
13 circles, one micron dot -- various
14 characteristics that enable the analyst to be
15 trained to recognize and discriminate
16 different features. We then count -- and
17 anybody, any of these rock counter or ore
18 investigators would say we do a green count.
19 We count all particles that are greater than
20 3:1 under one button. We use another button
21 to count those particles that which are
22 longer than 10 microns, Dr. Wylie suggested.
23 Another button to count those particles which
24 are less than a half a micron, and another
25 button to count those particles which are

1 bundles, or to display the obvious
2 asbestiform characteristics. But instead of
3 a manual grain counting device that's been
4 used for 200 years, we use -- next slide. Go
5 to the end. Back up. We'll come back.

6 We used a computerized version where
7 the buttons are on a little computer device.
8 You can do this on a sheet of paper. You can
9 do this with a computer-aided device. It
10 doesn't even matter. It's not hard. It's
11 simple. And you can train people to do it,
12 just like you train them to do phase contrast
13 counting.

14 Okay. Now you can flip back. Let's
15 take a look at this Libby data. Now, I'd
16 like to focus a little bit on Libby. In
17 terms of the historical, Dr. Ross mentioned
18 that the Libby mine was shut down 10 years
19 ago. So in term of a real problem, it's 10
20 years old, and beyond. The PCM could have,
21 and should have, and did demonstrate high
22 fiber counts at Libby. If you look at
23 Amandis's data and the papers by EPA -- or I
24 forget who, but Amandis was the author --
25 they showed that the fiber counts weren't

1 slightly above .1; they were much. They
2 showed fiber counts slightly larger than one.
3 They the were much larger. They were larger
4 than 10 fibers.

5 So the PCM did not fail when used in
6 the mine. There's been a large number of SEM
7 and TEM analyses performed on samples
8 recently. What you find is cleavage
9 fragments. These are going to be images.
10 Each one of these images has a relatively
11 high magnification in each of the fibers, a
12 low magnification, and a chemistry. And I'm
13 really just going to focus your attention to
14 the upper right-hand corner, because the
15 first three I'm going to show you are
16 cleavage. And the next three are asbestos.
17 And you need to see them relative to one
18 another in fairly close context in order to
19 understand.

20 So go ahead. If you just flip --
21 another one tapered. Another one very
22 course. Two microns thick, kind of plate.
23 Another one now we turn to the asbestiform.
24 High aspect ratio, thin width. .5 microns.
25 Thinner and longer. Next. Thinner and

1 even -- even thinner and longer. If you back
2 up, all of a sudden, boom, you see that even
3 in the electron microscope when you're
4 looking at these things, then you realize
5 that the individual fibril -- which Dr. Wylie
6 and Dr. Chatfield talked about -- then with
7 length fiber diameter does not get courser
8 for asbestos. That's the real key. You get
9 to the long, thin guys, and you can
10 dramatically see the difference -- at least I
11 can, and you'll have to believe me if you
12 don't.

13 Our data indicates 98 percent of the
14 fibers were large enough to be detected by
15 PCM. In the recent data sets, 72 percent of
16 those -- in conjunction with Dr. Wylie's
17 testimony -- were either less than half a
18 micron in diameter, or longer than 10 microns
19 in diameter. 55 percent of the particles by
20 our analysis are, in fact, asbestiform.

21 So Libby has a characteristic that is
22 the first time -- even though the
23 concentrations that are there today are very,
24 very low, .304 fibers per cc, they have
25 characteristics we haven't seen in any other

1 population -- at least in the U.S. -- long,
2 thin, substantial abundance. We just haven't
3 seen that in any of the quarries.

4 If you go to TEM, you see the same
5 situation. Next slide. Tapered -- tapered
6 ends. These are characteristics of cleavage.
7 Back up one. Again, tapered. Irregular. No
8 evidence of fibril bundles. Next. Same
9 thing. Next. I mean, you get some close
10 calls. Sometimes you just can't tell on a
11 single fiber. This is where Dr. Wylie
12 mentioned you have to do populations. Next.
13 Now you get into asbestiform. Very long,
14 thin. Different population. Next. Same
15 characteristics that distinguish on a
16 macroscopic basis also distinguish it
17 microscopically. It's actually quite nice
18 that nature didn't somehow make things
19 indistinguishable at the cell level that were
20 distinguishable at the macroscopic level.
21 Next. There are two populations:
22 Asbestiform and non-asbestiform. Most of the
23 fibers are non-asbestiform. Airborne fibers
24 are probably 10 to 20 percent asbestiform.
25 The mean fibril diameter is between .2 and .3

1 micrometers.

2 Now I'm going to show you some slides
3 which illustrate the population. This, as
4 Dr. Wylie mentioned earlier, is out of EPA
5 data. It's AHERA fiber population. So it
6 includes all fibers. Horizontal axis is
7 width; vertical axis is length. It's a true
8 dimensional crosscut of the contour map of
9 the population.

10 So out here there's less than 10
11 fibers. The purple is 10 to 20, or whatever.
12 The blue is the highest concentration.
13 What's interesting about this, because of
14 this large dataset they've collected, is that
15 you can see there are two populations; one
16 which is virtually independent, and one which
17 is -- gets wider as it gets longer. It's the
18 best data I've seen because it's the largest
19 dataset. It was collected essentially by one
20 lab. And we really can't argue with it,
21 because the industry didn't pay for it; the
22 EPA did. So it's not like there's
23 suggestions that maybe this guy biased the
24 analysis, or whatever. This is a lab
25 collecting this data.

1 Now, let's look at the five micron
2 diffraction. You see the interesting thing
3 about Libby is you never see -- there's still
4 a significant population above five microns.
5 Next slide. So 57 percent are greater than
6 five and greater than two. Next slide. Now
7 let's look at the stuff wider than a half
8 micron. You can see the line come in, and
9 the left of it will shade off that which is
10 greater than a half. So you see our
11 population increasing. There's still nearly
12 25 percent. Next slide. 52 percent of the
13 population greater than five is less than a
14 half. So again, this is Dr. Wylie's
15 characteristics of an asbestiform population.
16 Take it up to 10, the risk population that
17 Dr. Chatfield and Dr. Wylie talked about,
18 we're still seeing a substantial portion.
19 Next slide. So when we get done, Libby is
20 unique, even though the current
21 concentrations are extremely low. It's
22 unique in anything that I've seen. And the
23 data clearly illustrates that there's an
24 asbestiform and a cleavage population in that
25 airborne population.

1 Now, let's take a look at some recent
2 datasets. Let's just go to the next slide.
3 Historically, there is data that more than
4 20 percent of rock quarries samples would be
5 above .1. MSHA recently provided a dataset
6 which said about 7 percent by PCM -- not by
7 TEM, but 7 percent by PCM. In the data
8 analysis that we've done where people send a
9 sample, it's not all that different. It's
10 about 12 percent -- substantial, though, if
11 you're going to start counting all these
12 samples with TEM, number one; and substantial
13 if you're going to have to go back on 12 or
14 14 percent and verify that you're not looking
15 at asbestos by TEM. So it can still be a
16 very significant cost.

17 In the historical dataset, selected
18 samples have been examined by either SEM or
19 TEM. All asbestos fibers were fine out of
20 that percent.

21 When you look at the NSSGA data or the
22 Libby data, the solution is do a discriminate
23 optical count and analyze, based on the
24 trigger mechanism, portions of the samples by
25 SEM or TEM. This screening can help you

1 distinguish those samples which have
2 characteristics of asbestiform in
3 populations. And they let you analyze enough
4 samples and enough particles because you're
5 doing it using an inexpensive method to get
6 some meaningful data.

7 Next slide. So we proposed to count
8 all particles having greater than 3:1 aspect
9 ratio. This is important because it relates
10 back to the historical data. From that
11 population, count using a separate button --
12 a separate tally -- a percentage of those
13 being longer than 10 microns or less than a
14 half micron. Say the sample is potentially
15 asbestiform is now only 50 percent of the
16 fibers and bundles are either less than a
17 half micron in diameter, or longer than 10.
18 That's a very inclusive rule. The proper
19 rule properly is longer than 10 and less than
20 a half. But if you do the ore, it's a little
21 more inclusive, and will cost a little bit
22 more, but will be sure to get anything
23 potentially asbestos.

24 So if you do your PCM count if you're
25 below .1, or whatever your PEL is, forget it.

1 Just go home. If it's above that, check your
2 discriminate counts which you do on the same
3 sample at the same time, the same ticks. You
4 count the number. But you're also counting
5 this. You're also counting this. And you're
6 also counting lengths greater than 10 and
7 widths greater than -- less than .5. And
8 you're taking in bundles or obvious
9 asbestiform particles. If more than
10 50 percent of the particles are -- meet those
11 criteria, the asbestiform characteristics --
12 not that they're asbestos -- you say we
13 better check this. At that point the
14 operator either has the choice to treat that
15 count as asbestos, or get a validation. That
16 is the same as your OSHA rule right now.

17 Next slide. Then you move -- if you
18 say the sample is potentially asbestos, you
19 go in with SEM or TEM to confirm the
20 identity, confirm it's asbestiform amphibole.
21 If not, you say the sample is not asbestos.
22 When you get done, you use the OSHA rule --
23 which basically says take your PCM count,
24 take your percentage that was asbestos, and
25 divided it by the total fibers. Multiply

1 that by your count. So I get a PCM count
2 which is all fibers. I take my asbestos over
3 my total -- that's my fraction -- and
4 multiply those two together. And then I get
5 my determination of what my asbestos
6 concentration is. This is the way we do it I
7 mentioned now. So we'll just go by that.

8 Now, I think for the purposes of late
9 in the day and letting Dr. -- Mr. Bailey
10 go -- I think the rest of this is a repeat of
11 what we've seen earlier.

12 Oh, I forgot one thing. Let's go one
13 more. Here is the chrysotile and asbestos in
14 commercial building products. You see
15 they're quite visible. This is chrysotile:
16 Long, hairy. Now let's go to a real world.
17 This slide is actually much better in black
18 and white than the printed version. It's
19 very hard, lots of background stuff in a real
20 world dust sample that you don't get in a
21 building sample. And that's the reason these
22 labs go bad.

23 Next slide. When we apply the
24 discriminate counting to recent samples, 73
25 samples, we're counting using either 10

1 microns or less than five. Nine of the
2 samples were above .1, and had more than
3 50 percent of their population in that
4 discriminate count category. Those samples
5 are the ones that would go to TEM or SEM for
6 a review.

7 There's another way that people have
8 looked at it; and that's to say suppose I
9 exceed my simple count .05 -- which is, for
10 all practical purposes, the same thing -- I
11 screen my sample out for asbestos as much as
12 I can. If that counting is .05, then I go to
13 my backup counting. Of those 73 samples, 12
14 samples were found to have 50 percent of the
15 fiber population longer than 10 microns.
16 None of those had 10 factors.

17 So the discriminate count I believe can
18 be very effective, very powerful, and very
19 simple, and not add anything to routine
20 costs. So on the basis of that, that's what
21 we recommend. I thank you for your time and
22 patience. And we'll let Mr. Bailey wrap it
23 up.

24 MS. SMITH: Thank you, Dr. Lee.

25 MR. BAILEY: I want to again thank MSHA

1 for the time it has given us to provide this
2 testimony on its proposed asbestos standard.

3 I'm the manager of Occupational Health
4 for Vulcan Materials Company. I have over 27
5 years as a professional industrial hygienist.
6 I serve as the NSSGA's chairman on its IH
7 Subcommittee, Occupational Health Program
8 Task Force; and, of course, the Asbestos Task
9 Force.

10 Now, before I conclude the
11 Association's testimony, and I put forth the
12 Association's recommendations to MSHA, I wish
13 to address one additional, very important
14 point concerning asbestos in miners.

15 The OSHA PEL was designed for
16 protecting workers exposed to commercialized
17 asbestos. For purposes of demonstration,
18 here is a diagram of a sample collected in
19 commercial asbestos environment, and counted
20 through the light microscope. This is the
21 kind of sample that many asbestos labs see.
22 I have indicated here, for purposes of
23 discussion and demonstration, a concentration
24 of 0.5 fibers per cc.

25 The entire quantitative risk assessment

1 or QRA used by OSHA for asbestos PEL is based
2 on a dose/response relationship of
3 commercial asbestos and health outcome of
4 the workers handling it. The OSHA asbestos
5 QRA purposely did not include studies of
6 asbestos miners, even though there were a
7 number of valid studies available for the
8 agency.

9 Why was that? The primary reason was
10 that the various asbestos health risks --
11 asbestosis, lung cancer, mesothelioma -- were
12 shown to be significantly lower in miners
13 than those studies of non-miners. Was the
14 asbestos found in mines significantly safer
15 than that found in building, insulation and
16 textiles? I believe the answer lies in the
17 way the exposure dose was determined. And it
18 pertains directly to what has been testified
19 to this afternoon.

20 Properly sampling and analyzing ambient
21 samples for asbestos in mining environments
22 is critically important to MSHA and to those
23 it regulates. In the chrysotile mine --
24 which we don't have anymore -- typically
25 95 percent of the ore being handled is the

1 host rock or gangue material, while 5 percent
2 or less was the product being sought;
3 asbestos. When chrysotile miner dust samples
4 were collected in the early '70s and before,
5 the liquid impinger sampling method and the
6 million particles per cubic foot analytical
7 measurement were used and recorded
8 respectively.

9 The counting criteria, using a light
10 microscope, were particles longer than five
11 microns, and with length to width aspect
12 ratios of 3:1 or greater. In the studies of
13 asbestos miners, researchers attempted to
14 convert the million particles per cubic foot
15 results to fibers per cc so the fiber dose
16 could be determined for risk assessment
17 purposes. In later years, the phase contrast
18 light microscope method was adopted and
19 applied to the mining environment, along with
20 the simplistic fiber definition.

21 What was the result? The asbestos
22 fiber exposure results not only included
23 chrysotile asbestos fibers, but also
24 antigorite, lizardite, and other host rock
25 fragments that fit the fiber definition,

1 designated here as A, L and O. And C is the
2 chrysotile. In fact, the rock fragment
3 proportion of an asbestos exposure would have
4 accounted for most of the exposure seen under
5 light microscopy.

6 Now, these are all particles -- this
7 is -- I'm going to make it real simple.
8 We're going to delete the non-fibril fibers
9 here just for demonstration purposes here.

10 The antigorite and lizardite are not
11 asbestiform minerals, and have never been
12 shown to cause asbestos-like disease. The
13 result is that asbestos exposure to miners
14 was diluted or inflated with non-asbestos,
15 non-harmful rock fragments. And consequently
16 the dose of asbestos in mines did not cause
17 the same effect as an equivalent dose of
18 asbestos in other work cohorts exposed to
19 asbestos. Of course, as show on the first
20 slide, these other work cohorts were handling
21 commercial asbestos. And almost all the
22 particles fitting the fiber definition were,
23 indeed, asbestos and harmful.

24 Had a more appropriate asbestiform
25 fiber screening criteria been applied to the

1 mine samples, the results would have been
2 very, very different, and would have been
3 more consistent with the other non-mining
4 asbestos cohorts. If the PCM counting
5 criterion was such that the rock fragment
6 portion of the dust sample was excluded from
7 the count while still including the
8 chrysotile asbestos, the real dose to
9 asbestos miners, in this case being reduced
10 now to .05 fibers per cc, would have been
11 apparent and lower. And the resulting health
12 outcome would have matched the exposure.

13 Here, an inappropriate analytical
14 method led to an inappropriate conclusion
15 regarding miner health. The analytical tools
16 must account for the environment from which
17 the samples are collected. It is clear that
18 the mining environment is so different from
19 what OSHA regulates, that more appropriate
20 screening methodologies are necessary and
21 essential.

22 Now, I briefly want to summarize the
23 testimony and submit the recommendations for
24 your consideration as a final part of my
25 testimony, and then open up the forum to

1 questions and discussion.

2 From Bill Ford, senior vice president
3 of the NSSGA, you heard how this issue is
4 near and dear to our hearts. There is no
5 question that this one issue of what is and
6 what is not asbestos is linked directly to
7 our survival as an industry. We were
8 constantly present during the OSHA
9 deliberations. And we will be vigilant again
10 as you progress through your rulemaking.

11 Dr. Malcolm Ross, retired from the
12 USGS -- and a prolific author of numerous
13 papers and chapters on this very subject of
14 asbestos and non-asbestos -- told you that
15 true asbestos risk needs to be based on
16 factual information, and risks need to be put
17 into perspective. He told you about the
18 distribution of the two mineral habits in the
19 United States, and what could and did happen
20 to the mining industry when improper and
21 ambiguous asbestos measuring techniques were
22 used.

23 To bring that point home, three
24 companies shared their separate but related
25 story of how poor definitions, poor

Heritage Reporting Corporation
(202) 628-4888

1 analytical procedures, and poor understanding
2 of the properties of asbestos and
3 non-asbestos minerals resulted in significant
4 adverse economic impact and unwarranted panic
5 of customers, neighbors and employees.

6 Dr. Wylie, recognized worldwide for her
7 expertise on naturally occurring asbestos,
8 told you how the asbestiform mineral habit of
9 minerals is different from the
10 non-asbestiform habit. She told you where
11 the federal fiber counting rules for the PCM
12 originated, and why they cannot be used to
13 distinguish between the two mineral habits.
14 Finally, she reported on the numerous
15 scientific papers in the asbestos literature
16 demonstrating how populations of asbestiform
17 minerals can be easily recognized using
18 morphological properties that are more
19 appropriate for that mineral habit.

20 Dr. Eric Chatfield, consulting electron
21 microscopist to EPA and others, recognized
22 worldwide for his expertise, as well as the
23 author of asbestos analytical methods, told
24 you that TEM analysis for routine exposure
25 monitoring is a poor choice because of cost,

1 time for analysis, quality of labs due to the
2 lack of experience with mine samples, and too
3 small a sample size being analyzed. He did
4 say that electron microscopy needs to be used
5 to confirm the mineralogy of the particles of
6 interest. And, very importantly,
7 Dr. Chatfield provided examples of where
8 discriminate fiber analysis has already been
9 established in the very environments MSHA is
10 regulating. The precedent for change for the
11 betterment of science has been established,
12 and MSHA should follow this trend.

13 Finally, Dr. Lee, who is also
14 recognized internationally as an asbestos
15 expert and as a past consultant to MSHA, told
16 you how to apply the morphological
17 differences in a more appropriate
18 discriminate PCM counting procedure that
19 captures true asbestiform minerals while
20 excluding the majority of harmless cleavage
21 fragments. This procedure allows a logical
22 tiered analytical approach for mine samples
23 going from the least expensive, time
24 consuming and technique sensitive to the most
25 sophisticated where needed. Like

1 Dr. Chatfield, Dr. Lee also spoke to the
2 point that the many electron microscopic
3 laboratories doing asbestos work are dealing
4 with commercial asbestos samples and samples
5 for mine ores would be very difficult for
6 them to accurately analyze. Samples from
7 mines and from commercial asbestos abatement
8 sources are completely different with respect
9 to complexity, where the samples from mine
10 require experienced mineralogists.

11 All of these experts addressed the
12 Libby, Montana vermiculite issue. And none
13 of the recommendations made would minimize or
14 miss what occurred at Libby.

15 Based on this testimony from these
16 individuals, NSSGA offers the following
17 recommendations: NSSGA recommends that MSHA
18 reduce the PEL to 0.1 fiber per cuber
19 centimeter of air for the currently regulated
20 asbestos minerals -- chrysotile, amosite,
21 crocidolite, actinolite-asbestos,
22 tremolite-asbestos and
23 anthophyllite-asbestos -- and other
24 amphiboles in their asbestiform habit -- for
25 example, winchite-asbestos,

1 richterite-asbestos, et cetera -- and
2 erionite-asbestos. The short-term limit for
3 these same asbestiform minerals should be set
4 at 1.0 fiber per cc for a 30 minute sampling
5 duration to be consistent with OSHA.

6 The term "asbestiform habit" needs to
7 be defined as follows -- this is consistent
8 with all the testimony -- the mineral fiber
9 populations have an asbestiform habit when
10 the following characteristics are viewed
11 under light microscopy: (1) many particles
12 with aspect ratios ranging from 20:1 to 100:1
13 and higher for particles longer than five
14 microns in length; (2) very thin fibrils,
15 generally equal to or less than five microns
16 in width; (3) in addition to the mandatory
17 fibrillar crystal growth, two or more of the
18 following attributes must be apparent: (a)
19 parallel fibers occurring in bundles; (b)
20 fibers displacing splayed ends; (c) matted
21 masses of individuals fibers; (d) fibers
22 showing curvature.

23 Now, this recommendation goes beyond
24 the DOL Inspector General report -- which is
25 lower the permissible exposure limit for

Heritage Reporting Corporation
(202) 628-4888

1 asbestos to a more protective level -- in
2 that it specifically adds the amphibole
3 asbestiform minerals and the specific
4 minerals that have been shown to cause
5 asbestos-like health effects. These minerals
6 are not commercially mined, and need not be
7 incorporated in the OSHA standards. They are
8 relevant to the MSHA sphere of responsibility
9 and enforcement.

10 NSSGA recommends in the strongest terms
11 that MSHA adopt a more improved PCM
12 discriminate counting procedure that
13 specifically emphasizes the asbestiform
14 properties of minerals. This counting
15 procedure would supplement the current
16 procedure -- not replace it -- with
17 additional measurements of federal fibers
18 that are .5 microns wide or less, unless
19 existing as bundles, and 10 microns long or
20 longer. If these measurements show that
21 50 percent or more of the federal fibers
22 exist with either of those morphological
23 characteristics, then electron microscopy --
24 either SEM or TEM -- with the necessary
25 analytical peripheral devices, be used to

1 ascertain if the PCM-observable asbestiform
2 fibers are composed of the minerals listed
3 above. If so, then the PCM count would be
4 adjusted to reflect the mineralogy as
5 determined by electron microscopic analysis
6 to determine compliance with the exposure
7 limits. Only respirable particles -- a
8 maximum of three microns in width unless a
9 bundle -- should be counted to be consistent
10 with recent international standards.

11 This approach is consistent with the
12 DLO Inspector General report recommendation
13 number two: Use transmission electron
14 microscopy to analyze fiber samples that
15 may -- and I emphasize that on my own --
16 contain asbestos. The use of TEM in the
17 NSSGA recommendation is for mineralogical
18 verification, not fiber quantification. SEM,
19 in many samples, will be adequate to
20 distinguish the minerals of interest from
21 others. Where it cannot, TEM must be used.

22 Finally, the International
23 Mineralogical or Mineral Association's
24 definitions of amphiboles need to be
25 incorporated for reference for guidance in

1 electron microscopic identification of these
2 minerals. The reference for this source is
3 provided here.

4 Finally, NSSGA recommends that MSHA
5 adopt appropriate provisions in the OSHA
6 asbestos standard for construction regarding
7 hygiene facilities for asbestos abatement
8 workers who handle asbestos-containing
9 materials, or whose exposure exceeds the PEL.
10 The definition of asbestos-containing
11 material -- 1 percent or more -- must be
12 consistent with OSHA's.

13 With that, I'd like to recognize one
14 other person that belongs to this panel and
15 did a lot of work is Mr. Jim Sharpe. If he's
16 still here, he can join our panel -- perhaps
17 also answer questions that may come. Thank
18 you for the time and the attention. We leave
19 it open to you.

20 MS. SMITH: Thank you to the
21 representatives of NSSGA. I'd like to ask
22 the panel members if they have any questions.

23 DR. JONES: I'd like to ask Dr. Lee --
24 Dr. Chatfield also contributed to this --
25 about the use of relatively low skill

1 analysts to do discriminate analysis. Is
2 that widespread in the business now? Are
3 there people who can do that?

4 DR. LEE: I think it would take
5 additional training.

6 DR. JONES: How extensive?

7 DR. LEE: I think a PCM certification
8 today is a 40-hour course, I believe, if I
9 recall right. I don't think you would have
10 to retrain your analyst, but I don't think
11 they would take more time than that 40 hours.
12 But I don't think -- I mean, we had to
13 retrain ours to get them, and to run some
14 trial samples in the office. OSHA at Salt
15 Lake City participated in Reynolds Robin with
16 MSHA's Denver lab a number of years ago
17 participated. We also agreed that we would
18 have to retrain the analysts to recertify
19 them.

20 DR. JONES: Is the RME gradual in use
21 now?

22 DR. LEE: It's in use in very limited
23 form, but it's available and could be
24 manufactured by the people that currently
25 manufacture the Walton bucket, which is the

1 common --

2 DR. JONES: When NSSGA did their 12 to
3 14 percent of their PCM counts above .1 ; is
4 that correct?

5 DR. LEE: That's --

6 DR. JONES: Was that done using
7 differential counting?

8 DR. LEE: No. That was the straight
9 federal fiber count. Dr. Clark might be the
10 right guy, because he's got a better memory
11 than I do. We counted the NSS -- we counted
12 these guys as samples using the federal fiber
13 count five microns and greater than 3:1.
14 The -- of those samples, some 270, 11 percent
15 or 12 percent -- 77 of those, 12 percent
16 ended, up above .1 fibers. When the
17 discriminate count was applied, which was
18 kept simultaneously with separate tick marks,
19 I believe 9 of those samples, which is -- go
20 ahead.

21 DR. CLARK: If I may, it was reduced by
22 approximately 25 percent. 75 percent of the
23 samples were still -- 75 percent of the
24 samples had 50 percent or greater -- 10
25 microns or greater fibers.

1 DR. JONES: I just have one last
2 question: How was the 50 percent chosen,
3 50 percent of the microns?

4 DR. LEE: Well, it's really based on a
5 couple of things. One is Dr. Wylie's
6 asbestiform population; and second is my own
7 experience in the laboratory over any number
8 of years that if you're -- what we've done
9 historically since I was at U.S. Steel is if
10 a PCM sample exceeded .05, which was half of
11 the threshold, we checked it by electron
12 microscopy. What we found just by
13 experience -- but also you can show this
14 statistically -- that if it's below half that
15 limit using normal collection parameters, it
16 could be asbestos, and it will never go above
17 .1. You'll never reach the .1 no matter what
18 the fraction is. And above .05 given a .1
19 threshold, a recount could -- you have a
20 significant probability that the recount
21 would take you over .1. So it's those two
22 factors.

23 DR. JONES: Also, I had some interest
24 in what Dr. Chatfield was saying about the
25 need of the gravimetric matrix reduction. I

1 can see where that would be a very essential
2 thing, but is there -- do you know of any
3 research that's going on to look at very
4 matrixes to see how that could be reduced in
5 other ways? It seems to me it's important in
6 a lot of different situations. Is anybody
7 looking at these situations that you're aware
8 of -- various ores or different things that
9 are mined?

10 DR. CHATFIELD: There's a number of
11 techniques that can be used to concentrate
12 any asbestos that's present. And the whole
13 idea there is to -- is to get rid of as much
14 non-asbestos material as you can. In some
15 cases you can't do anything because if the --

16 DR. JONES: If it's truly insoluble,
17 say?

18 DR. CHATFIELD: If it's truly
19 insoluble, and there's no major density
20 difference -- you can do density separation
21 on some of these things as well. I mentioned
22 with vermiculite you can exfoliate it and
23 float off the vermiculite. If one of them is
24 acid soluble, you can dissolve it out.

25 The other thing, of course, is you

1 could take account of these width
2 characteristics in the sedimentation
3 process -- not just with the vermiculite work
4 and the Libby work -- you could have --
5 recognize that cleavage fragments are
6 generally going to settle much faster than
7 the asbestos fibers do. So if there's any
8 asbestos present, you would find it. But on
9 the other hand, in something like Libby
10 there's been a lot of the asbestos fiber
11 fragments settle as well.

12 DR. JONES: And it doesn't change the
13 characteristics to suspend those?

14 DR. CHATFIELD: I don't believe so, no.

15 DR. JONES: Thank you.

16 DR. LEE: It's primarily for bulk
17 sampling. Just to comment, for air sampling
18 you virtually have to reduce the sampling
19 time if you have substantial dusty
20 conditions. Also, you have to collect
21 multiple samples as recommended in OSHA 7400.

22 DR. JONES: That's what we've been
23 doing.

24 MS. AINSWORTH: Dr. Lee, along with
25 your computerized system, that was just for

1 recording the counts, right? The analysts
2 would just record the counts on the computer
3 rather than on paper?

4 DR. LEE: That's right.

5 MS. AINSWORTH: Do you have any attempt
6 at letting the computer determine if the
7 particles meet those size requirements and
8 let it count if there's no objective decision
9 by the analysts?

10 DR. LEE: Actually, in the right kind
11 of samples we're actively working on that
12 kind of effort. One of the problems with
13 stone, with the quarry samples, is the vast
14 majority of the dust is something else. And
15 so that you really do have a lot of
16 interferences. And your own eye is an
17 incredibly quick image analyzer at
18 recognizing long, thin particles. It's hard
19 for a computer to keep up.

20 MS. AINSWORTH: One question is if the
21 interference due to all these other mineral
22 dust is a problem, is there any information
23 about available -- to use some kind of size
24 select sampler to eliminate them rather than
25 get rid of them after you've collected them?

1 DR. LEE: That certainly is an option
2 that could be considered. I think to define
3 the standard around it you might -- it
4 might -- I think that would be a good thing.
5 There are cascading factors, various size
6 selective devices, cycles -- small cycling
7 samplers, and the like. That would certainly
8 help your analysis. You would just have to
9 get the acceptance in the mines, in the
10 quarry, and make sure your calibrations are
11 done. But yeah, that could help a lot. It
12 would help very much with any of these
13 proposals.

14 MS. AINSWORTH: And I have a question
15 for you, Dr. Wylie: With your distributions
16 and the sizes and the particles and the
17 fibers, for the bulk samples, was there some
18 preparation done on them to grind them or
19 send them that might change that size?

20 DR. WYLIE: Well, actually, with a real
21 asbestos it's extremely difficult to grind.
22 It has remarkable tensile strength. A lot of
23 this data came from a study that was done by
24 NIEHS -- from a question by the NIEHS through
25 the Bureau of Mines. We had a mill, and we

1 were trying to reduce the amosite. They
2 wanted to use the animal feet studies, so
3 they wanted to reduce the size so they could
4 actually get them down. And they had a mill
5 that they were using, an air jet mill.
6 Instead of reducing the size, the amosite
7 blew a hole in the side of this mill. It's
8 steel and about that thick. It has
9 remarkable tensile strength. It's very, very
10 difficult to do. They were dispersed. They
11 used a technique of a little bit of soap and
12 water, and a little slight sonication just to
13 try to aggregate it -- simple aggregation.
14 But there was no real attempt to try to
15 reduce it in any other way. We were really
16 looking for the size distribution that you
17 would get in this animal feet study. Some of
18 those data are air data. Of course, the air
19 itself has done this aggregation for us.

20 If I might add one more thing: You
21 really can't reduce the width of the fibrils.
22 It's a growth property.

23 MS. AINSWORTH: I was thinking of the
24 cleavage fragments.

25 DR. WYLIE: Yeah. Well, we had to

1 cleave them. I mean, they're -- yes, we
2 ground them. For the bulk material, it was
3 actually received in a ground state. So we
4 were characterizing the samples as we
5 received them from the Bureau of Mines.

6 MS. SMITH: With no more questions from
7 our panel, I'd like to express my
8 appreciation to the speakers from NSSGA and
9 the other speakers earlier this morning.

10 Do we have additional speakers that
11 have come in later who would wish to present
12 information at this time?

13 If not, I would like to encourage those
14 of you who have indicated you're going to
15 leave us with materials, if you'd like to do
16 that today, if you would leave it with me
17 before you do leave. And I thank you all for
18 coming today. The information you have given
19 us certainly will assist us in our
20 deliberations as we move forward to deal with
21 these difficult issues. And we very much
22 appreciate the information you've provided so
23 that we can do that in a timely and efficient
24 way.

25 And with that, I believe we will close

1 the record on this public meeting. Thank you
2 all very much for coming.

3 (Whereupon, at 4:07 p.m, the hearing in the
4 above-entitled matter was concluded.)

5 //
6 //
7 //
8 //
9 //
10 //
11 //
12 //
13 //
14 //
15 //
16 //
17 //
18 //
19 //
20 //
21 //
22 //
23 //
24 //
25 //

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF COURT REPORTER

I certify that the foregoing is a correct transcript from the record of proceedings in the above-entitled matter.

Lisa M. Blair, RPR, Notary Public
Commonwealth of Virginia at Large