

1 it might be a reasonable comparison.

2 However, dissecting the remainder of
3 the adhesions, the right atrium from the right
4 pericardial wall, the great vessels apart, and
5 whatnot, would have been totally unrelated to what
6 was happening from the study. So in the clinical
7 picture, it may be a totally meaningless aspect.

8 CHAIR YANCY: Other inputs? Please,
9 Dr. Jeevanandam.

10 DR. JEEVANANDAM: Or at least
11 acquainted this. This was the time from skin
12 incision to the placement of the sternal retractor.
13 So, this is the time where they have gone through
14 the anterior mediastinum and the posterior chest
15 wall and put the retractor in. So this isn't the
16 time for their entire dissection or for going on
17 pump and, you know, setting up the right atrium,
18 etcetera. So, this is theoretically the area of
19 "protection" that they have gone through and put
20 their external retractor in.

21 CHAIR YANCY: My sense -- oh, I'm
22 sorry. Dr. Page.

1 DR. PAGE: My only comment is I think
2 Table 5 is consistent with what we saw in terms of
3 primary endpoint. I remain very concerned about
4 this clinical, Table 6 and the one clinical outcome
5 that would have hoped to have seen is going in the
6 wrong direction.

7 Overall, I don't know what to make of
8 these secondary effectiveness evaluations.

9 CHAIR YANCY: So if I can make an
10 attempt to paraphrase what I have heard, the only
11 component of the secondary effectiveness with which
12 we have some degree of quasi-comfort is the
13 reduction in the severity of adhesions. But we are
14 all confounded by the dissection time data and
15 essentially reject it as not being contributory
16 towards secondary effectiveness.

17 Have I overstated that? Are there
18 contrary viewpoints? Dr. Hirshfeld.

19 DR. HIRSHFELD: I think we have to, I
20 don't think we can just reject the data and ignore
21 it. I think the fact is that the data move in the
22 wrong direction and that may be a signal that there

1 is some other thing that is operating here.

2 CHAIR YANCY: Dr. Zuckerman, is that
3 enough information for you on question three?

4 DR. ZUCKERMAN: Yes, but I would like
5 to ask you to summarize the panel on one other
6 point.

7 You've had a thorough discussion of the
8 secondary endpoint effectiveness data. Would it be
9 fair to say, as a panel conclusion also that it
10 doesn't help one anymore extrapolate to the larger
11 intended indication?

12 CHAIR YANCY: I think several panel
13 members have spoken to this issue of extrapolating
14 these findings to a larger domain that would
15 include adults. We've heard about comorbid
16 conditions. We've heard about different kinds of
17 surgical operations. The presence of eye main
18 grafts I think Dr. Weinberger and Dr. Hirshfeld
19 have made some comments on that, Dr. Zuckerman.
20 But if someone wants to respond specifically to
21 that comment, I would welcome that.

22 DR. HOPKINS: I'm not sure that that's

1 what we said. I think what we said was that A, B,
2 and C, no. D, yes and that is contributory to the
3 evaluation of this product, this device.

4 In other words, D says the percentage
5 of patients with the worst degree of adhesions was
6 improved by the use of this device and I think
7 we're all saying we agree with that. The
8 consequences of that are we're having a problem in
9 terms of the clinical impact that that has.

10 But in terms of the definitions of the
11 secondary endpoints, what I heard is that we all
12 agree that 3D was met, but 3A, B, and C, and Table
13 6 were kind of un-interpretable because of, for
14 various reasons. Either they are underpowered or
15 Table 6 may be measuring 15 other things and have
16 absolutely nothing to do with the severity of the
17 adhesions from which you could extrapolate a
18 utility for this device.

19 CHAIR YANCY: So that is the message we
20 have just given FDA. But now they have responded,
21 whether or not he message we have given, even with
22 regard to the one component to which we have

1 tentatively embraced, 3D, do we believe that can be
2 extrapolated to a larger population?

3 DR. HOPKINS: Are we going to discuss
4 that under labeling or you want to discuss that
5 now?

6 CHAIR YANCY: Well, labeling is next,
7 so we can't avoid it.

8 DR. HOPKINS: No, that's very --.

9 CHAIR YANCY: Dr. Yaross.

10 DR. YAROSS: Yes, I thought we were
11 coming to this under question four also, but I
12 guess the challenge I see, and this was alluded to
13 this morning in terms of whether or not the sponsor
14 is between a rock and a hard place, because in
15 FDA's own summary, it says that this was a
16 reasonable model and it's been alluded that this is
17 probably the only executable study design and yet
18 the challenge comes back about extrapolation.

19 You know with respect to Dr. Domanski's
20 earlier point, the panel has to use their clinical
21 judgment if this is the important indication for
22 which studies and effective devices are sought, we

1 just need to make sure that reasonable study
2 designs are accepted.

3 CHAIR YANCY: So that we can be
4 somewhat structured, let's go ahead and introduce
5 question four. Can you bring that up?

6 The additional language is at your
7 place, but the focus of the question is to discuss
8 whether the data provided, in aggregate, can be
9 used to extrapolate the proposed Indications for
10 Use from pediatric to adult patients who may or may
11 not have a planned re-operation.

12 The fact that we're discussing this as
13 a point of information does not mean that we have
14 de facto approved the PMA, but this is part of our
15 deliberation.

16 Dr. Zuckerman, I think you had your
17 hand up and I didn't recognize you.

18 DR. ZUCKERMAN: You know, I just wanted
19 to clarify one thing. You know, FDA wrote
20 something in executive summary that said a, b, and
21 c. But the point of taking things to an advisory
22 panel is to get advice from experts. And while FDA

1 didn't see perhaps yesterday a way for it regarding
2 extrapolation to the biggest or different
3 alternatives, I wouldn't necessarily assume that
4 that's true now and would like the panel to go into
5 the organized fashion that you have directed us,
6 Dr. Yancy.

7 CHAIR YANCY: So in that context, I
8 think we do have to be clear about resolving
9 question three. Because what FDA would like is our
10 advice about whether our tentative embrace of the
11 one secondary effectiveness measure, fewer worse
12 adhesions, has enough veracity that we're
13 comfortable with that benefit being extrapolated to
14 a broader patient population. And I think Dr.
15 Hopkins started off with no. Is that correct?

16 DR. HOPKINS: Well, there's two
17 different questions. The question is do we accept
18 that outcome as being highly likely? And the
19 answer is yes.

20 To be able to extrapolate to other
21 populations may in fact fall under, and this is
22 where when we start to talk about labeling

1 indications, it's really two separate questions.
2 The extrapolation may fall into the area of
3 clinical judgment, which gets into the whole area.
4 Usually, it's the reverse that we're talking about,
5 in terms of off label use. It's approved for adult
6 use and we use it for kids. Here we're talking
7 maybe it should be restricted to kids. And does
8 that mean a clinician can't use their judgment and
9 use it for adult? No.

10 But what we're talking about is the
11 labeling that we can comfortably do, based upon the
12 evidence that we have.

13 So, if you ask me about extrapolation,
14 I would say no. But I would say the first part of
15 the question, has the percentage of patients with
16 the worst degree been proved to my satisfaction?
17 The answer to that is yes.

18 CHAIR YANCY: And I think the panel in
19 general has resolved that the answer is yes.

20 Dr. Domanski and then Dr. Page.

21 DR. DOMANSKI: Yes, I would underscore
22 your answer, in fact.

1 The thing that I would add, though, is
2 once these things are out there, of course, they
3 can used off label. I think that somebody using
4 this particular device off label is at real risk
5 because here it's not just oh, they haven't you
6 know, done a complete study. There really should
7 be some considerable concern that there might be a
8 problem in adults.

9 And I think, given the indication by
10 the FDA, if, you know, assuming we go ahead and
11 recommend approval in the kids, I think somebody
12 extrapolating and using it in adults who then has
13 a misadventure will have the warning of the FDA as,
14 you know, in this panel, that is, a certain sort of
15 legislative history if you will, to this. I think
16 we should do whatever we can in the labeling to
17 encourage not doing that, more so than with other
18 devices that one sees coming through here.

19 CHAIR YANCY: So from my kind of Texas
20 mind, let me see if I can really hone this down.
21 So do we believe that if this device were used in
22 an adult, that we would see fewer worse adhesions?

1 That's really the frame up here.

2 Dr. Page.

3 DR. PAGE: Yes, the --

4 CHAIR YANCY: That's a yes?

5 DR. PAGE: No. That's an absolutely
6 no.

7 CHAIR YANCY: Okay.

8 DR. PAGE: The model, while I think it
9 probably is the best, and maybe the only model to
10 assess re-operation and adhesions in a re-
11 operation, let's keep in mind, these are three kilo
12 babies. The average age was 12 days. Seventy
13 percent had their chest open for two to five days
14 before this. So the whole healing process is
15 completely different from what happens in an adult,
16 as opposed to eight to twenty years for the average
17 re-op in an adult.

18 So in terms of efficacy, we have no
19 data. And we have a model that I don't think
20 follows.

21 CHAIR YANCY: So your answer is no.

22 DR. PAGE: The answer is no. But also,

1 are we going to get a chance to discuss safety?
2 Because safety is key. And as I look through the
3 documentation, there are a total of 11 adults who
4 completed a protocol, 11 patients for safety data.
5 And that -- safety first.

6 CHAIR YANCY: We will get to that when
7 we have a discussion about a potential post-
8 approval study, if we decide to do that. Dr.
9 Somberg.

10 DR. SOMBERG: We really don't have the
11 information. So I don't think we should, as a
12 panel, say that we have an expectation that there
13 would be toxicity in adults. We don't know that.
14 We, at the same time, I'm glad most of my
15 colleagues are worried about the adult side. I saw
16 that. You know, I was concerned that that would
17 not be an issued raised.

18 But we have to also say that the
19 sponsor does have a lot of information in the
20 preclinical models, where you're looking at this
21 issue and there are other barrier devices that have
22 been brought forward as well. I understand two of

1 them are approved. One of the slides stated that.
2 So, I think that we have to say that we really
3 don't know. And I must disagree with Dr. Domanski
4 it's that we have to anticipate that those two
5 lesions will become three plus to the power of four
6 or something. I think we just have to say there
7 has to be some caution.

8 And as in cardiology and cardiothoracic
9 surgery, people explore and hopefully they explore
10 within the confines of studies and registries. But
11 I wouldn't want to go so far as to say that we
12 expect to have adversity. We just don't know.

13 CHAIR YANCY: Dr. Zahka.

14 DR. ZAHKA: I guess I haven't heard
15 enough today to know how to answer the question.
16 It strikes me that if in adults there are two
17 devices that are already approved for this purpose,
18 then that means that there is a clinical problem in
19 adults. And that it's not a moot point and that
20 somehow a study was done to prove effectiveness and
21 safety of those devices.

22 CHAIR YANCY: This is a panel only

1 discussion. We'll yield to you. Panel only
2 discussion right now. I appreciate your desire to
3 speak and we will get to that point.

4 DR. ZAHKA: And this device, you know,
5 I believe has been shown to reduce adhesions. So
6 it's not illogical then to say that in the adult
7 population there is a problem, it's been
8 recognized, and this device has a potential to help
9 adults. And these data support that in humans,
10 that this device may be helpful.

11 CHAIR YANCY: Dr. Yaross.

12 DR. YAROSS: Yes, I would just ask Dr.
13 Zuckerman for clarification. I had understood that
14 there was no device that was specifically indicated
15 for cardiac surgery.

16 DR. ZUCKERMAN: Yes, but the
17 clarification I want to give to Dr. Zahka and other
18 members of the panel is to remember that this is a
19 PMA device that we're discussing. So regardless of
20 whether there is another approved device on the
21 market, each PMA must stand on its own. You must
22 individually look at the data for safety and

1 effectiveness and make a risk benefit profile
2 decision.

3 CHAIR YANCY: I thank you for that
4 clarification. I think we are, in fact, blending
5 these discussions. So, ostensibly, we are
6 discussing question four. So, this is an
7 opportunity to continue to develop our thoughts
8 about whether or not we can extrapolate the
9 aggregate database we have seen today from the
10 pediatric to the adult population.

11 Dr. Neaton, please.

12 DR. NEATON: Could I just ask maybe a
13 question, because there's an element of this that
14 I don't fully understand.

15 So one part of the extrapolation is
16 going from a little body to a big body. But the
17 other part of the extrapolation is going from a
18 repeat procedure five to six months later, to one
19 that's going to be many years later. And so, if
20 this device doesn't really kind of reduce to zero
21 adhesion, it's just reducing severity, I mean, how
22 would one expect those to evolve over time? I

1 mean, is the time element here another important
2 consideration?

3 CHAIR YANCY: Dr. Jeevanandam.

4 DR. JEEVANANDAM: I had a comment to
5 make, but I think I could try to answer your
6 question. So when you talk about the many years
7 between re-operations, what we find clinically is
8 that the adhesions are probably worse at about six
9 months where they are vascular and there is a lot
10 of inflammation and there is edema. And then over
11 a period of time, they actually do mature. And if
12 you do operate on somebody 10 years, 15 years
13 later, the adhesions tend to be a lot less vascular
14 and somewhat easier to go through and you have less
15 of your catastrophe.

16 But it's not uniform that you do have
17 some patients who would have a lot of adhesions.
18 But then if you track them back, they probably have
19 had some event in their primary surgery, such as a
20 bleed or an infection or something else going on.

21 I guess you know, so to frame this
22 question, it says, okay, this device decreased

1 adhesions. And I think there is, in this
2 population, yes, it decreases adhesions. Then the
3 next question was, will it decrease adhesions in an
4 adult population?

5 And first of all, we don't have any
6 data on that at all. The only thing that we have
7 is a couple of adult patients and when we talk
8 about the adult population, these VAD patients are
9 a group of patients who are going to have a planned
10 re-op. Okay? They are going to have a planned re-
11 op in about six to eight months. And so they are
12 not that much different than the Norwood patients.

13 Now, I know this device went into two
14 patients and they did not have good outcomes. And
15 the idea was that the membrane itself breaks apart
16 because of these grafts. Now, these grafts don't
17 all have to be placed right on the anterior
18 mediastinum. A lot of people actually put them out
19 on the right side, so theoretically, these grafts
20 should not be, I don't know, squashed or however it
21 was described, or destroyed by these grafts. And
22 so, it does give me a little concern that the two

1 patients that they did go back on in adults had
2 adverse events and have adhesions.

3 So I don't think you can automatically
4 just project that because this worked to decrease
5 adhesions in neonates that it's going to work in
6 adults. And I might actually caution against that.

7 CHAIR YANCY: So you are not
8 comfortable extrapolating these data from the
9 pediatric population to the adult population.

10 DR. JEEVANANDAM: That is correct.

11 CHAIR YANCY: All right. That is the
12 focus of question four.

13 Dr. Somberg.

14 DR. SOMBERG: Well, I agree with your
15 conclusion. But I just want to say that I've heard
16 two individuals, two surgical colleagues here say
17 that oh, as the adhesions mature, they become less
18 problematic. That might be so in the natural
19 history. But if you change the natural history by
20 pushing it up front to the mild adhesions, those
21 mild adhesions, over time, might become more dense
22 and fibrous at a later date. And they may not

1 mature as the natural history does.

2 And I'm not saying that's for sure.

3 I'm just a guy who bets on weird scientific

4 outcomes that sometimes occur.

5 But I'm just saying that should be

6 revealed. And I think what my sense is of what

7 I've heard from people is that there is going to be

8 a need for, I mean, based on two patients, what can

9 you do? So there is a need for a larger experience

10 in adults, before it's used in adults.

11 CHAIR YANCY: Dr. Hopkins?

12 DR. HOPKINS: Yes, I think all of those

13 comments are well taken. Where I would be

14 interested in using this in adults is a third or

15 fourth time redo in an 18 or 20-year-old where the

16 risk benefit ratio would be very, very favorable.

17 I would be less inclined to use it in a 60-year-old

18 coronary who is a diabetic.

19 So I think there is all of those, none

20 of that has been investigated here. So just a

21 straight extrapolation that this is useful in

22 adults regardless of other factors is a little bit

1 difficult. And so you're talking about labeling,
2 it becomes very kind of misleading.

3 In terms of the biology that you
4 suggested, the biology of wound healing would
5 suggest that that scenario would not occur, the
6 blocking. But it would be more likely that
7 blocking mild adhesions is a good thing. But
8 nevertheless, there is no data here to extrapolate.

9 CHAIR YANCY: But it sounds like you're
10 saying that there is at least a limited possibility
11 that you would extrapolate these data to a certain
12 segment of an adult population. Is that correct?

13 DR. HOPKINS: Absolutely. I think
14 there is potential utility of the adult population.

15 CHAIR YANCY: Okay.

16 DR. HOPKINS: We just don't have the
17 data to say that without any codicils.

18 CHAIR YANCY: All right. So other
19 comments on this issue, that is, extrapolating the
20 aggregate data in the pediatric population that
21 we've seen today to the adult population? We've
22 heard from Dr. Page, we've heard from Dr. Hopkins,

1 we've heard from Dr. Jeevanandam, we've heard from
2 Dr. Neaton. Are there any other comments the panel
3 wishes to make?

4 Dr. Hirshfeld.

5 DR. HIRSHFELD: Just one thing. My
6 sense is if the device is approved, and is approved
7 in an adult indication and there is a post-market
8 surveillance study, that unless that study involves
9 some sort of an efficacy assessment, we will never
10 know whether this device is of any benefit in
11 adults.

12 CHAIR YANCY: I may need clarification
13 from FDA at this point, because the post-marketing
14 or post-approval study typically is to capture real
15 world use experience, look for any uncovered,
16 unanticipated adverse events, and to derive data on
17 longer term performance, but not primarily on
18 efficacy. But I don't want to misspeak.

19 DR. ZUCKERMAN: That's correct for the
20 intended indication. I think perhaps we've gotten
21 off track a moment.

22 I initially asked the panel to

1 elaborate a little bit more on whether the
2 secondary endpoint effectiveness data could be
3 extrapolatable to the adult population in
4 preparation for the next question. And I think
5 there has been a range of views and a healthy
6 discussion.

7 Regarding post-approval studies, the
8 way I would suggest that the panel always look at
9 this is never jump the gun. Point number one is to
10 figure out if you have reasonable assurance of
11 safety and effectiveness for an intended labeled
12 population. Make that discussion and then once you
13 have perhaps chosen the appropriate population,
14 post-approval studies, as Dr. Yancy has pointed
15 out, have a certain niche and we'll go over the
16 particular slide that tells us what the potential
17 uses of a post-approval study are.

18 That's correct, Dr. Yancy.

19 CHAIR YANCY: Thank you. So let's do
20 this so we can stay on track. With regards to this
21 specific query of the secondary effectiveness data
22 and extrapolating that specific point to a broader

1 population, the panel was equivocal. We didn't
2 have a strong statement yea or nay or as an
3 aggregate, but individual members had strong
4 feelings.

5 On question four, where we were
6 discussing in bullet point A whether or not we can
7 take the aggregate information and extrapolate
8 that, in general, from the pediatric to the adult
9 patients, we have strong opinions expressed in the
10 panel that that is at least problematic, if not
11 unacceptable.

12 Am I misstating that at all?

13 (No response.)

14 CHAIR YANCY: So we need to go on to B
15 under question four, which is, "Please discuss
16 whether the proposed labeling accurately informs
17 physicians as to how to use this device and if the
18 device can be indicated for placement between
19 pericardial surfaces."

20 This is an issue that Dr. Katz spoke to
21 several times. Do you want to start this
22 discussion?

1 DR. KATZ: I would love to.
2 Unfortunately, I think we don't have enough
3 information to make the statement that this device
4 would work in between pericardial surfaces. In the
5 information that we have here, the study was very
6 limited. Also, as far as how to use this device,
7 again, it's in such a limited area, I would be a
8 little bit uncomfortable extrapolating it. The --

9 CHAIR YANCY: Marc, let me help you. I
10 may have misdirected you by not taking the time to
11 read the proposed labeling language. Because the
12 question is in the context of that language,
13 whether or not this language gives the practitioner
14 sufficient information. And it goes as follows.

15 "REPEL-CV is a surgical adjuvant
16 indicated for reducing the incidence, severity, and
17 extent of post-operative adhesion formation in
18 patients undergoing cardiac surgery via
19 sternotomy." And then there's a contraindication
20 statement. "REPEL-CV is contraindicated in
21 patients in whom a Ventricular Assist Device (VAD)
22 is implanted."

1 And then the question that we are
2 addressing, 4(b), is whether or not that language
3 that I just read accurately informs physicians as
4 to how to use this device and if the device can be
5 indicated for placement between pericardial
6 surfaces.

7 DR. KATZ: In that case, I'd have to
8 say no. Because that statement, if just reading
9 that one statement would give me the sense that it
10 would reduce the incidence, and severity, and
11 extent of adhesions anywhere it was placed.

12 CHAIR YANCY: And I think that we've
13 already determined that the incidence is not
14 affected or that's our feeling. Is that right?
15 Okay.

16 Dr. Jeevanandam.

17 DR. JEEVANANDAM: Can I make a comment
18 on the labeling itself? Because I think the
19 labeling itself needs to be changed, right?
20 Because we're not saying it's going to be indicated
21 not for reducing the incidence, but indicated for
22 reducing the severity and extent of postoperative

1 adhesions.

2 CHAIR YANCY: So that's an acceptable
3 statement.

4 DR. JEEVANANDAM: And then it says in
5 patients undergoing cardiac surgery via sternotomy.
6 And I think that's where there needs to be a
7 limitation in maybe pediatric patients or in this
8 particular group, you know, neonates undergoing
9 cardiac surgery, but we can't expand that to adults
10 undergoing cardiac surgery as well --

11 CHAIR YANCY: So what I'm hearing --

12 DR. JEEVANANDAM: -- with the data that
13 we have right now.

14 CHAIR YANCY: So Vall, what I'm hearing
15 you say is it should have the incidence struck and
16 then you're saying that it should have pediatric
17 patients added. Not just patients, but "in
18 pediatric patients." Is that what I'm hearing?

19 DR. JEEVANANDAM: You know, pediatrics,
20 that's a wide range. So, --

21 CHAIR YANCY: So give me better
22 language.

1 DR. JEEVANANDAM: -- if it's an 18-
2 year-old, --

3 CHAIR YANCY: So neonates, is that what
4 you're --

5 DR. JEEVANANDAM: Well that's what this
6 study showed. It was for neonates.

7 CHAIR YANCY: Dr. Zahka.

8 DR. ZAHKA: You have to remember that
9 after the second stage, at six months, give or
10 take, they have to have another operation at 18
11 months to four years. I think it is very
12 reasonable that if we're comfortable saying that it
13 works as a newborn, it leads you out to six months,
14 we have to be comfortable with the next step in
15 saying it should be useful at six months, to allow
16 you to get to three or four years. Yes, we don't
17 have the perfect data, but to just make it in
18 neonates, I think is too restrictive.

19 CHAIR YANCY: So your input would be
20 pediatric rations.

21 DR. ZAHKA: Yes.

22 CHAIR YANCY: Other comments, please.

1 Just a minute. Dr. Weinberger, please.

2 DR. WEINBERGER: I think that what
3 captures your feeling and what captures mine is
4 that there has to be an expectation of a repeat
5 operation within 24 to 36 months. If there's no
6 expectation of another operation in the near
7 future, I don't think that we're on any kind of
8 strong basis to recommend the use of this product
9 or to use that indication.

10 CHAIR YANCY: So your contribution to
11 this labeling statement is that patients and
12 surgery need to be further modified as an
13 expectation for a repeat surgical procedure.

14 Just as another point of clarification,
15 are we going in the direction that FDA wishes by
16 dissecting this?

17 DR. ZUCKERMAN: Yes, but let's take a
18 step back a moment. I think we're jumping ahead to
19 D, which is perhaps construction of a more
20 appropriate labeling, 4D. So let's make sure we
21 answer. 4A, you've summarized, Dr. Yancy. 4B,
22 we've heard comments.

1 CHAIR YANCY: 4B, specifically, is
2 running 100 percent no, so far, because everyone
3 has had a modification of what they see and
4 indicating that it doesn't completely inform the
5 practitioner.

6 DR. ZUCKERMAN: Okay, 4C.

7 CHAIR YANCY: We haven't gotten there
8 yes. You're jumping the gun, now.

9 DR. ZUCKERMAN: Oh, okay. And then I'd
10 like to discuss what an intended use statement
11 should comprise when we get to 4D.

12 CHAIR YANCY: Terrific. I think we can
13 address 4C. Doctors Blackstone and, I'm getting
14 tired, --

15 DR. JEEVANANDAM: Jeevanandam.

16 CHAIR YANCY: -- Jeevanandam. I like
17 to call you Vall.

18 DR. JEEVANANDAM: That's fine. It's
19 easier.

20 CHAIR YANCY: If you could address 4C
21 for us, succinctly, that would be great.

22 "Please discuss if the data obtained

1 from experience with Ventricular Assist Device
2 patients is applicable to patients with other types
3 of prosthetic devices."

4 DR. JEEVANANDAM: I think they're
5 putting a contraindication on this device with the
6 experience with two patients. And you know, with
7 a graft that went straight up the sternum where a
8 lot of times we put grafts around the sternum. You
9 could put a membrane like this over the right
10 ventricle and that's what you want to protect
11 during your re-entry. And then you have other
12 types of prosthetic devices. Now, does that mean
13 with any graft, with any valve? And I think that
14 that is not appropriate.

15 And I think the other problems, in
16 terms of ventricular assist devices, this
17 experience might have been with a HeartMate, it
18 sounds like it was in the early 2000s, so it's
19 probably with the HeartMate VE. And there are
20 other devices that are coming out now that have
21 much smaller grafts. They have grafts that go in
22 different places. You know, the Jarvik goes down

1 to the descending aorta. So I think if you just
2 ventricular assist devices, that may be way a
3 broader spectrum. But on the other hand, --

4 CHAIR YANCY: So your answer --

5 DR. JEEVANANDAM: -- we're saying that
6 we can't go to adults anyway. So I don't know if
7 this matters.

8 CHAIR YANCY: Well, no. We have to
9 frame it up. We can't quite do that. So what
10 you're saying is that not only does it not apply to
11 contemporary ventricular assist devices, but it
12 also would not apply to other prosthetic devices.

13 If there is no variance on that, then I
14 would like to keep going to D, because we were
15 already framing that up.

16 Are you comfortable with that, Dr.
17 Zuckerman?

18 DR. ZUCKERMAN: Yes. Okay, in looking
19 at 4D, which gets to the heart of the matter and
20 IFU statement, I'd like the panel to be cognizant
21 of two features. Number one, we're talking about
22 a hypothetical here. If you engage in a discussion

1 about an appropriate IFU, it doesn't mean that you
2 have to vote yes.

3 The second point is that in a primary
4 indication statement, we want to truthfully label
5 the intended patient population. And I would like
6 to remind the panel that when we put the word
7 pediatrics in a regulatory context, that's I
8 believe, up to age 18, which may or may not be
9 appropriate here. Some other wording may be
10 appropriate.

11 And the second thing that I think that
12 panel members have already gotten to is that we
13 want to see if we can simply state what the product
14 does in the intended patient population.

15 Thank you.

16 CHAIR YANCY: Thank you for that
17 direction.

18 So, if I capture what we said
19 previously and we're addressing 4D, "Please discuss
20 whether there are any other issues of safety or
21 effectiveness not adequately covered in the
22 proposed labeling."

1 What I've heard so far is to strike
2 "incidence," to modify patients by language that
3 Dr. Zuckerman tells us that we need to craft more
4 carefully, and to also modify surgery, i.e., with
5 the intent of or the expectation of a repeat
6 surgery. If that is correct, then we can continue
7 to morph this.

8 Please, Dr. Hopkins?

9 DR. HOPKINS: For a number of reasons,
10 I would probably prefer not to see the term
11 pediatric in the labeling statement, but rather
12 just restrict it to patients undergoing surgery via
13 sternotomy who are at elevated risk for needing
14 another sternotomy in the near future, or within
15 six years, of something like that. That gets rid
16 of all the elderly people right off the bat. So
17 you almost don't even have to say that.

18 The ventricular assist device, I don't
19 think we finished that. I think we do have data
20 that suggests that it may be contraindicated for
21 placement between a rigid prosthesis and the
22 sternum, or it is not suggested for that. And is

1 it possible to put that it's not contraindicated in
2 adults, but effectiveness has not been proven yet.
3 Can that be an asterisk to the labeling statement?

4 DR. ZUCKERMAN: Okay. A
5 contraindication statement is usually put in when
6 there are definitive evidence, when there is
7 definitive evidence that you would not like any of
8 your cardiac surgical colleagues to ever do this
9 particular maneuver. Given --

10 DR. HOPKINS: Me or the sponsor?

11 DR. ZUCKERMAN: Excuse me?

12 DR. HOPKINS: Me or the sponsor?

13 DR. ZUCKERMAN: No, the sponsor is out
14 of the picture right now. You have a very
15 important job here this afternoon, as you know.
16 And given that you are understanding gravity of the
17 situation, is it appropriate, based on the data
18 that we have, to say that we should never do this
19 in a contraindication statement is the point on the
20 table.

21 DR. HOPKINS: I don't think you can say
22 that.

1 CHAIR YANCY: So, Dr. Hopkins, can you
2 respond within that context?

3 DR. HOPKINS: I would say you cannot
4 say that, based upon the data that is here.

5 CHAIR YANCY: And Dr. Katz, you had a
6 comment.

7 DR. KATZ: Well, that's where my
8 question came from earlier today, is that I'm not
9 sure I understood how that got to be a
10 contraindication from the information that I have.
11 It was used in two patients and it was not
12 effective. But not being effective does not make
13 it a contraindication.

14 CHAIR YANCY: But let me just remind
15 you that this language was brought forward by the
16 sponsor, as proposed language.

17 DR. KATZ: It makes me wonder that
18 there is information about other issues that came
19 up as to why it would have been made that way, I
20 guess. From the information I have, I have no
21 reason to think it should be a contraindication.

22 CHAIR YANCY: There are comments here.

1 Dr. Domanski, then Dr. Somberg.

2 DR. DOMANSKI: Yes, I certainly agree.
3 I don't think we have the basis for saying it's
4 contraindicated. But I do think we have the basis
5 for saying that the indication should be limited to
6 very early in life. I mean, we can argue about
7 whether it's neonate or not, but I think pediatric
8 is too broad. I don't think you've demonstrated
9 safety and effectiveness in adults.

10 And I don't think you've demonstrated
11 in a pediatric population at the upper reach of
12 that. So, of course we haven't demonstrated a
13 contraindication, but I think we ought to be very
14 clear that it's indicated only very early in life
15 and for a specific purpose, because that's what
16 they've demonstrated.

17 CHAIR YANCY: So with regards to this
18 question, Mike, would you strike the
19 contraindication statement?

20 DR. DOMANSKI: Yes. I would be very
21 cautious on the basis of two patients about putting
22 in a contraindication statement.

1 I know the sponsor said that, but you
2 know, we're happy to disagree with them about other
3 things. I don't think we have a strong enough
4 thing to put a contraindication in. Because you
5 know, there may be somebody who needs to use it or
6 feels that they need to in some case that I can't
7 come up with, necessarily. We don't have the basis
8 for saying don't ever do it.

9 CHAIR YANCY: Dr. Somberg.

10 DR. SOMBERG: Well, I thought that the
11 sponsor's statement that in those two patients with
12 that particular device which has the constant
13 motion, there was a rapid breakdown of the
14 material. It wasn't made to handle that. It was
15 very demonstrable and I think we should give
16 deference to that observation. I don't think
17 anyone has ever forced someone to study something
18 when, you know, in two consecutive cases the
19 material disintegrates. And that's the point they
20 were making.

21 So I think that's important. It should
22 be left in there. If you don't want to call it a

1 contraindication, you can call it that it does not
2 work when it is constantly vibrated against,
3 etcetera. But it wasn't a, you know, very marked
4 vibration.

5 I must say I do agree with the people
6 who said pediatric because that's the overwhelming
7 experience here. And to go beyond that, I think we
8 need to see some patients.

9 CHAIR YANCY: So, if I can attempt --
10 yes, Dr. Zuckerman?

11 DR. ZUCKERMAN: No. And that's,
12 material like that, Dr. Somberg, can be put in a
13 warning statement in the labeling.

14 CHAIR YANCY: So if I can attempt to
15 frame up what we've said about 4D and keep us on
16 task and on time, "Please discuss whether there are
17 any other issues of safety or effectiveness not
18 adequately covered in the proposed labeling." And
19 we all have the labeling before us.

20 The panel would advise that we strike
21 "incidence." The panel would advise that we modify
22 the term "patients" and use something that captures

1 early in life or pediatrics. And the panel would
2 advise that we do something or say something that
3 suggests patients at risk or at high risk for a
4 repeat operation. And the panel would advise that
5 the contraindication may not be precisely correct,
6 but there should be language to reflect a very very
7 limited experience that was not positive in
8 patients with the VAD. Is that fair?

9 (No response.)

10 CHAIR YANCY: There's enough head nods.
11 I think the panel is okay with that. Is that
12 acceptable, Dr. Zuckerman?

13 DR. ZUCKERMAN: Yes. But because this
14 is an important point, just truth in labeling, I
15 have two questions. Perhaps the sponsor wants to
16 clarify again why they think this might be a
17 contraindication.

18 The second question I have about just
19 the general construct of the labeling, which is the
20 point of 4D, is if you go to Section 10 of your
21 panel pack, which is the proposed label, there is
22 no discussion of the first three small feasibility

1 trials, which do have some additional safety data.
2 They do have the experience of the two LVAD
3 patients. Would the panel recommend that in
4 addition to the pivotal trial that we've discussed
5 here this afternoon, that that data be put in the
6 general clinical section and safety result section?

7 That's been our general policy for
8 labeling, that we describe the whole PMA
9 experience.

10 CHAIR YANCY: It seems to be a fairly
11 straight forward administrative question. Is there
12 any disagreement with that?

13 Dr. Blackstone.

14 DR. BLACKSTONE: I think if you just
15 broadly say LVADs, that is misleading. I think
16 that you have to either say what kind it is and how
17 it was rooted or something because I think it is
18 applicable historically.

19 CHAIR YANCY: Dr. Katz?

20 DR. KATZ: Dr. Zuckerman brought up the
21 point of asking the sponsor about why they came up
22 with the term contraindication. That would be

1 helpful for me because I think that is the one
2 adult population where, if this were approved, that
3 it would be most likely used.

4 CHAIR YANCY: As a point of protocol,
5 are we able to allow that response now or request
6 it after the break, when the sponsor has a chance
7 to respond to everything?

8 DR. ZUCKERMAN: To keep continuity,
9 let's have them talk right now.

10 CHAIR YANCY: If you could have a
11 limited discussion about that specific point,
12 please.

13 DR. PINES: The contraindication was
14 placed in the labeling precisely for the reason
15 that Dr. Somberg mentioned. We have over the past
16 eight years worked very hard and spoke to a lot of
17 people about doing clinical studies in post-
18 operative adhesions. You can be sure that if in
19 fact there was a patient population other than
20 pediatrics, we would have pursued the adult
21 population. Everyone that we had spoken to
22 essentially told us there is no clinical model

1 that, in a practical time window one can assess
2 post-operative adhesions. So that's number one.
3 The pediatric is exclusively the only model
4 available for assessing efficacy. So that's number
5 one from our perspective. And I can assure you, as
6 I said before, we wouldn't have done a study in the
7 pediatric population that has all these
8 difficulties that I think we all heard about,
9 unless there was no other option. Okay?

10 With regard to the LVAD, there were two
11 LVAD patients and, in fact, we had spoken back in
12 1998. In 1998, the LVAD that was used was the
13 HeartMate LVAD. The graft was essentially this
14 wide and it went right across the mediastinum.
15 That's the only experience that I'm talking about.
16 There were absolutely no safety concern. There was
17 no adverse events. What the patient had was a lot
18 of adhesions because the barrier was not in place
19 for the time required.

20 As you know probably much better than I
21 do, LVAD patients have extensive adhesions. Okay?
22 So I think the fact that two patients had lots of

1 adhesions, does not make a story with regard to
2 LVAD.

3 If in fact there are LVAD devices
4 currently that are marketed that we can use that
5 are not placed across the chest, if you will. And
6 if in fact the patients come back in a reasonable
7 time, that's something that we would certainly
8 want to consider.

9 The only reason we took the LVAD out
10 and put it as a contraindication because for us, it
11 was an easy decision. The product does not work in
12 cases where you have all these pulsating movement.

13 And it would not be productive to put a
14 warning. We wanted to be very clear. You're not
15 going to achieve the kind of efficacy that we think
16 you get with pediatrics in the LVAD patient
17 population.

18 And that was the reason. Strictly
19 based on efficacy and to the point that Dr. Somberg
20 raised.

21 CHAIR YANCY: Any focused comments or
22 anything in response to what we've just heard?

1 Thank you.

2 Dr. Katz?

3 DR. KATZ: Just actually as a quick
4 question, so I'm absolutely clear. It still seems
5 to me is that what you're talking about is a lack
6 of effectiveness, not a contraindication.

7 DR. PINES: Correct. Absolutely
8 correct.

9 DR. KATZ: Okay, thank you.

10 CHAIR YANCY: Thank you again. Dr.
11 Somberg?

12 DR. SOMBERG: I just wanted to say that
13 from my point of view it's not that you were told
14 that the pediatric was the only model and
15 therefore, you should be punished for using that
16 model. It's just that that's what we have, it's my
17 impression, that's what we have the experience with
18 and, therefore, the indication would be for that.
19 And as we get more experience with other
20 populations, there are many ways to get experience,
21 by the way, you should certainly broaden the
22 indication.

1 But right now, when we have like 150,
2 160 patients all together exposed to this system,
3 probably 95 percent to 99 is in the pediatric, very
4 pediatric age group, I think that has to be noted
5 in the indications.

6 CHAIR YANCY: Dr. Zuckerman, my sense
7 is that we've addressed for A, B, C, and D and that
8 we are less enthused about a specific
9 contraindication but think language needs to be
10 there to address the experience. Language which
11 can be strengthened by the inclusion of the
12 entirety of the preclinical data in the label
13 packet insert that describes specifically what the
14 LVAD experience was in the context of what we just
15 heard.

16 DR. ZUCKERMAN: Good.

17 CHAIR YANCY: Let's go to the final
18 question. And I appreciate the panel's patience
19 with this process.

20 This is post-approval study and there
21 are three bullet points under question five. We
22 have the question before us. We heard FDA and we

1 heard sponsor present comments on the post-approval
2 study.

3 The questions are A: "Please discuss
4 the appropriateness of mediastinitis as the primary
5 endpoint versus a composite primary endpoint;"

6 B: "Please discuss if a 4 percent non-
7 inferiority margin is clinically significant and an
8 acceptable margin of difference;" and

9 C: "Please comment on the
10 appropriateness of the length of follow-up to
11 evaluate long-term safety."

12 If we can start with A so we can keep
13 these things framed on compartments. Dr. Neaton?

14 DR. NEATON: I think Dr. Zuckerman
15 wanted to say something first.

16 DR. ZUCKERMAN: Yes. Just for the
17 panel's ease of reference, they may want to go back
18 to slide 61, which points out the reason for post
19 approval studies from a regulatory perspective.

20 CHAIR YANCY: Is it possible to project
21 that slide?

22 DR. NEATON: Maybe while they are

1 looking for the slide I can make a general comment.

2 So I actually was intrigued by the
3 sponsor's study, the pivotal study, and I think
4 made strong arguments in a tough population to kind
5 of choose one to demonstrate efficacy. And where
6 I kind of sit primarily is with still some
7 uncertainty about safety. And we've had a lot of
8 discussion going back and forth in the adult
9 population.

10 And I guess these are three questions
11 which are reasonable, but I guess I would like just
12 for the record say that I think that it doesn't
13 make any sense to me at all to address this
14 question, to address the question of safety now in
15 an observational study. We've already heard
16 arguments about using the historical data from the
17 registry which is probably not even suitable. I
18 think a trial is needed here. You can't, you're
19 simply, the risk of bias when you're looking for
20 differences as small as what are being hypothesized
21 is so great in an epidemiological or observational
22 study, that I think, you could just be overwhelmed.

1 And so, I think you need to do a trial in adults to
2 understand their safety in the short-term.

3 And I think you know, one of the
4 outcomes clearly should be the one that is proposed
5 here, but it probably should also include other
6 kind of a broader set of outcomes to kind of ensure
7 the power is adequate.

8 CHAIR YANCY: I don't want to put words
9 that were not intended. So are you rejecting the
10 post-approval study?

11 DR. NEATON: Yes. I don't think it
12 makes any sense. I think from the point of view of
13 both what we've heard from the registry, as well as
14 from the point of view of I think of where we are
15 in sitting with the uncertainty around safety,
16 particularly in an adult population where it hasn't
17 been studied.

18 And to me, the idea of extrapolating
19 the efficacy to adults and becoming more convinced
20 about that, that there's the uncertainty about the
21 safety that I'm still concerned about and I want to
22 see that demonstrated in a proper trial.

1 CHAIR YANCY: So is it perhaps
2 appropriate to say that especially when you view
3 the tenants on this line, that you don't reject the
4 notion of a post-approval study, but this study as
5 intended, particularly to capture the broader
6 population?

7 DR. NEATON: Yes.

8 CHAIR YANCY: Dr. Blackstone.

9 DR. BLACKSTONE: I agree. That was
10 going to be the question I was going to say because
11 I don't want to answer these three questions.
12 Because I also don't believe this is a right trial.

13 CHAIR YANCY: Dr. Jeevanandam.

14 DR. JEEVANANDAM: I have a question
15 about a post-approval study. Right? So is this
16 implying that we are approving this for adults and
17 we're testing this in adults? Because we -- or is
18 this a post-approval study just for the neonates?

19 Because if it is, then I agree with
20 them. Then this is really not a post-approval
21 study, this should be a separate study.

22 CHAIR YANCY: So before we lose our

1 direction, let me get two inputs from FDA. Matt.

2 MR. HILLEBRENNER: Yes, I just wanted
3 to clarify that in case this was not clear, the
4 post-approval study can only include patients who
5 are included in the indicated use population.

6 So, and I don't want to put words in
7 anyone's mouth, I heard more or less overwhelming
8 evidence that this indication would be limited to
9 neonates or pediatrics, or something other than
10 including all comers for cardiac surgery. So the
11 proposal that was made by the sponsor was based on
12 their proposed indication that included all of
13 those patients. The eventual post-approval study
14 would only be in the eventual approved indications
15 for use.

16 So, I think --

17 CHAIR YANCY: So then, if the label is
18 modified to say early life or pediatric, then that
19 is the only population in which the post-approval
20 study can occur. Okay.

21 Are we then comfortable addressing
22 these three questions in that context?

1 DR. SOMBERG: I must say I'm not, not
2 to believe your regulatory interpretation, but I
3 think post-marketing studies can also be used to
4 broaden a population and it depends on how you
5 slice this. But for instance, if you had a study
6 that looked at ventricular tachycardia, you might
7 have a sepsis one study that looks at polymorphic
8 ventricular tachycardia. So if you have a study
9 that looks at one age group, you know, it's not
10 their dichotomous, pediatrics are so different than
11 adults, but it hasn't been shown -- it's more of a
12 chronicity issue here of when you would look at it.
13 And there is also an issue of when you could, you
14 know, the type of study you would have to do.

15 So, a post-marketing study could
16 possibly look at a population that was broader than
17 the initial approval.

18 CHAIR YANCY: I think this is an issue
19 of language because this does not exclude doing the
20 kind of trial that Dr. Neaton has suggested, that
21 is a structured trial in a different patient
22 population. This is a post-approval experience.

1 MR. HILLEBRENNER: Right. So the trial
2 that we're discussing here would be done as a
3 condition of approval under this PMA.

4 The trial that you're talking about and
5 the trial that Dr. Neaton talked about would
6 certainly be doable and technically would be post-
7 market in that it would be subsequent to approval
8 and the final decision. But that would be done
9 under an investigational device exemption,
10 separately from the PMA. That would be a new IDE
11 and later a new PMA marketing application.

12 CHAIR YANCY: Dr. Zuckerman, --

13 DR. ZUCKERMAN: Yes.

14 CHAIR YANCY: -- steer us clear here.

15 DR. ZUCKERMAN: I just want to again
16 emphasize that of course the FDA wants to see more
17 data on this technology and other technologies, but
18 you really have to understand the point of a post-
19 approval study. And again, I need you to think
20 hypothetically.

21 If the panel does think that there is a
22 more appropriate limited indication for the early

1 age group, what would be appropriate, given that
2 these are the reasons why we do post-approval
3 studies.

4 Now, certainly if the sponsor also
5 wants an adult indication, we would be more than
6 willing to design an IDE trial along the very
7 appropriate lines that Dr. Neaton indicated. But
8 that's not the question under discussion right now.

9 CHAIR YANCY: Any comments from the
10 panel?

11 Dr. Hopkins.

12 DR. HOPKINS: This is a question. Let
13 me make sure we're clear. If in fact the label
14 says or the labeling is restricted to pediatric
15 uses under the age of 18, then the point of the
16 post-approval study would be to gather more
17 information on things like mediastinitis in which
18 the pre-approval studies were inadequately powered.
19 It would be a separate study that would be proposed
20 to extend the indications for adults or other
21 purposes. Is that correct?

22 DR. ZUCKERMAN: Not quite. So, let's

1 take it a little step further.

2 Suppose hypothetical you think that the
3 approved indication is for the early age
4 population. As you pointed out, this study was
5 done at perhaps the 15 best centers in the United
6 States. So we look at our chart over here and we
7 want to ask the question, do we want to make sure
8 that community performance can get the same safety
9 results in the labeled population at different
10 centers? And now we have a certain control safety
11 rate from this study done at excellent centers.

12 But what you posed Dr. Hopkins, is the
13 hypothetical if we don't believe that there's
14 reasonable assurance of safety and effectiveness,
15 can we somehow get that data in the post-approval
16 study? And the regulations are clear on this. The
17 answer is no.

18 This for the points listed on the
19 slide. So I need the panel to think about what are
20 the appropriate reasons for doing a post-approval
21 study here.

22 CHAIR YANCY: So under the auspices of

1 a more limited patient population with all of the
2 provisos we have collectively agreed upon for the
3 label language, if this were approved and if it
4 were approved with a contingency of a post-approval
5 study, we still have three bulleted points we need
6 to address.

7 Dr. Somberg.

8 DR. SOMBERG: I think one reason to do
9 the post-approval study would be to broaden our
10 understanding of the safety. And certainly the end
11 was inadequate to study things like mediastinitis,
12 etcetera.

13 The other thing would be to broaden our
14 understanding of the inter-operative interval,
15 which I think is most important. And there are
16 cases where you might intervene. My colleagues do
17 a procedure. It's delayed for a while, it's poor
18 growth or something or other and you get a greater
19 chronicity of that. And there might be valve
20 cases, etcetera where you come back or tectologies
21 you come back in when they're a certain age, you
22 might do that.

1 And therefore, I worry about us
2 limiting ourselves to saying oh, you can do this
3 under a post-approval study and the other one you
4 have to get another IDE and do a PMA application,
5 when we're talking about broadening a population in
6 a very special group where, you know, for years the
7 FDA told the sponsor that the pediatrics are the
8 only people who are going to be re-operated so
9 that's the only one to do a particular study on.
10 So now how are we ever going to -- are we not
11 blocking ourselves, everybody here, is blocking
12 themselves, painting themselves in a corner, and
13 will never be able to make a statement beyond this
14 very small group.

15 So I think what we can do is we can
16 look at safety in a broader real-world situation
17 and we can also look at increasing the age of the
18 patients.

19 CHAIR YANCY: I think we all accept the
20 premise that a post-approval study will provide
21 more information regarding adverse events, expected
22 and unexpected.

1 But if we think about an unlabeled
2 patient population, let's be very precise because
3 the hour is running late. Is it appropriate to use
4 mediastinitis or some other composite primary
5 endpoint in a post-approval study. That's the
6 precise question right now. Is there enough
7 concern about mediastinitis that that's a primary
8 endpoint for the post-approval study in an
9 unlabeled population exposed to a post-approval
10 study or is there another endpoint?

11 Dr. Domanski.

12 DR. DOMANSKI: Yes, I mean, there's
13 been a lot of discussion about mediastinitis. It
14 seems to me that it would at least be a component
15 of a composite endpoint. So I guess the answer is
16 --

17 CHAIR YANCY: Would you prefer it as
18 the single or primary endpoint?

19 DR. DOMANSKI: No, I always prefer it
20 as a composite endpoint.

21 CHAIR YANCY: And what else would be in
22 that composite?

1 DR. DOMANSKI: I think, I guess death
2 would be part of that. It may be that, you know,
3 I think maybe referring to the other elements, I'd
4 rather refer to the surgeons who were facing the
5 complications of this. So let me punt that to
6 those guys. But death should be one of them.

7 CHAIR YANCY: So additional input on
8 this endpoint.

9 Dr. Jeevanandam.

10 DR. JEEVANANDAM: So again, so this is
11 going to be a post-market study on the approved
12 patient population?

13 CHAIR YANCY: Yes.

14 DR. JEEVANANDAM: I mean, I don't know
15 if it needs to be done, but if it needs to be done,
16 I would propose a composite primary endpoint
17 including mediastinitis and bleeding and perhaps
18 take back for tamponade and death.

19 CHAIR YANCY: And so you are correct,
20 when we get to the point of casting a vote, we may
21 or may not approve a post-approval study needs to
22 be done. If we vote yes, we may say yes with or

1 without. So you are right. In the context of the
2 hypothetical circumstance where it is approved and
3 we vote yes for post-approval study, then the
4 endpoint you're suggesting is a composite of
5 mediastinitis, bleeding, and death. Is that right?

6 DR. JEEVANANDAM: That's correct.

7 CHAIR YANCY: Okay. Dr. Blackstone.

8 DR. BLACKSTONE: But I think death that
9 you're talking about is death at the re-operation.
10 Isn't that true? Okay, not death in general.

11 CHAIR YANCY: Okay. That's an
12 important clarification. Thank you.

13 Anybody in variance with what I just
14 said on 5A?

15 (No response.)

16 CHAIR YANCY: 5B is, please discuss the
17 non-inferiority margin. Is it clinically
18 significant?

19 Is there someone that can bring us to
20 that answer quickly? Dr. Neaton? Dr. Blackstone?
21 I'm willing to bag.

22 DR. NEATON: I don't think there's a

1 quick answer to this, but you know, and also, it
2 keeps coming back in my mind to what is the
3 comparison group. Not inferior to what? And so I
4 just would prefer, at this point, not getting into
5 any details. And that's something that requires a
6 lot more thought than I can give at this panel
7 meeting.

8 CHAIR YANCY: So we can certainly defer
9 that if that is in fact the way we need to go.

10 Dr. Blackstone?

11 DR. BLACKSTONE: Yes, I was going to
12 say, I was asking the same question. And that is,
13 what would be that standard? But then I would also
14 go one step further and say that the FDA, for
15 valves and others, have objective performance
16 criteria mechanisms that is not necessarily based
17 on a delta, but is based on other criteria. And I
18 would think one that is consistent with the
19 criteria that you have been using for other devices
20 would be reasonable here.

21 CHAIR YANCY: Well the one thing --

22 DR. BLACKSTONE: We could go that

1 route.

2 CHAIR YANCY: The one thing that I saw
3 today was that you could surmise a soft rate of
4 mediastinitis based on what we saw in the afternoon
5 analysis that FDA did for us.

6 And so the question would then be, if
7 we take that rate, I think it was about five
8 percent, what is the range around that rate that
9 would be acceptable for non-inferiority.

10 DR. BLACKSTONE: I just don't know what
11 it would be for the composite endpoint that you're
12 talking about.

13 CHAIR YANCY: My sense is that this is
14 specifically about the mediastinitis component of
15 the composite. I think that's a yes. Yes, it
16 would be specifically about the mediastinitis
17 component of the composite.

18 DR. ZUCKERMAN: Okay, Dr. Yancy, would
19 you like some more clarification on that?

20 CHAIR YANCY: I would love it.

21 DR. ZUCKERMAN: Okay. You know,
22 certainly again, at the beginning of the day, the

1 Agency and sponsor had a certain construct based on
2 where we were. We have moved a long distance
3 today.

4 I think what I've heard the panel
5 indicate is that you would be willing to live with
6 a relevant important composite safety endpoint as
7 the primary endpoint. Consequently, our usual
8 construct, Doctors Neaton and Blackstone, would be
9 to compare that safety data with the recent data
10 generated in the pre-approval pivotal trial to see
11 if in a new set of centers we were in the same
12 ballpark in terms of safety, that general
13 construct. And would that be okay with you, as
14 long as both the sponsor and FDA could come up with
15 a clinically meaningful delta that the sponsor
16 could show that aren't above is where we're at.

17 CHAIR YANCY: Response from either
18 Doctors Neaton or Blackstone, please.

19 DR. BLACKSTONE: And how would you
20 construct that delta? You have constructed on it
21 on the basis of confidence limits for valves. Why
22 not do the same?

1 DR. ZUCKERMAN: We can always generate
2 an OPC from the literature. But here, as Dr.
3 Neaton pointed out, we have recent relevant
4 historical line data that could be used as a
5 control. We have a new prospective data set. And
6 the opportunity would be there to construct a non-
7 inferiority hypothesis with that recent relevant
8 control, rather than utilizing a journal article
9 where often a lot of the data that both the sponsor
10 and FDA would like to know isn't published in the
11 journal article.

12 But that, generally, is the question on
13 the table now. What would you use as the control
14 and any other general recommendations?

15 DR. NEATON: I mean, just, I mean,
16 again, this requires a little bit more thought.
17 But I would not use the data from the randomized
18 trial because I think there's enough concern that
19 maybe the rates there are high. And so, you don't
20 want to kind of -- the issue is is whether or not
21 the trend and, I don't know if I want to call it a
22 trend, but are the concerns that have been

1 expressed today about mediastinitis kind of real
2 and put some bounds on that.

3 And so, I go back to the idea, I don't
4 see how you can establish that without a randomized
5 comparison. But if I were going to establish an
6 historical control, I'd probably use the literature
7 to get a more stable estimate of what that
8 incidence rate is, as opposed just to three or four
9 cases from the study.

10 DR. HOPKINS: I think the problem with
11 that is that the literature is a moving target and
12 is always five years out of date. And this is the
13 incidence of mediastinitis is really changing
14 rapidly.

15 I think as we discussed earlier, STS
16 doesn't have that data, but the Congenital Heart
17 Surgeons Society does. So there are databases out
18 there that we could get relatively concurrent data
19 on which to construct a non-inferiority trial.

20 So I think that to answer your question
21 very specifically, I think it could be stated such
22 that in the first instance, you'd compare to the

1 control arm of the randomized study. And in the
2 second instance, you'd compare to some kind of
3 concurrent database that is appropriate, such as
4 the Congenital Heart Surgeons Society. And
5 thirdly, in terms of the delta, I would propose
6 three percent.

7 CHAIR YANCY: So what I'm hearing from
8 the panel is that we are very tentative about
9 really prescribing a margin. And we really need to
10 understand the reference population and the origin
11 of those data. And that this something that would
12 take more time to evolve. Is there a brief comment
13 on this, Dr. Somberg, specifically on this issue?

14 DR. SOMBERG: Yes, specifically on the
15 issue. And I think we're pushing too hard to
16 develop a non-controlled study and I think there
17 should be a control, but a controlled study. One
18 proposal was for a randomized one and we could have
19 a registry with a non-randomized where you would
20 have real world experience, you would have people
21 entered into it who would have the device who have
22 it -- you could look at toxicity, side effects, and

1 you can also look at some efficacy, a simple
2 efficacy endpoint.

3 So there are a number of alternative
4 designs than what just we summarized.

5 CHAIR YANCY: So that we can maintain
6 some progress, let's also discuss 5C. "Please
7 comment on the appropriateness of the length of
8 follow-up to evaluate long-term safety."

9 There are several panel members from
10 whom I have not head in the last several minutes,
11 so please feel free to give us your thoughts on the
12 appropriateness of the length of follow-up.

13 Dr. Jeevanandam.

14 DR. JEEVANANDAM: I mean, I guess in
15 the context of a post-approval study for this
16 pediatric population that we're anticipating them
17 having another re-operation, obviously when they
18 have their re-operation, they don't need to be
19 followed up there. So, you probably need to follow
20 them up until they get their re-op.

21 CHAIR YANCY: Well the language now
22 says an eight week follow-up.

1 DR. JEEVANANDAM: I think that would --

2 CHAIR YANCY: Is that acceptable or
3 not?

4 DR. JEEVANANDAM: Well, you know, from
5 an efficacy point it's not going to be acceptable.
6 But I guess if you're looking at it purely from a
7 safety point of view, you would get all your
8 endpoints, which are mediastinitis and bleeding.
9 You won't get your endpoint of mortality from the
10 second operation, but you'll get your other
11 endpoints, if you're going for eight weeks.

12 CHAIR YANCY: Other comments on the
13 appropriateness of length? Dr. Hirshfeld.

14 DR. HIRSHFELD: Yes, I would agree with
15 Vall. I think we're talking about now a study
16 that's entirely different than the one that was
17 conceived when this document was written. And I
18 think that the outcome of the second operation is
19 a critical portion of both the safety and the
20 efficacy endpoints. So, I think that should be
21 included.

22 CHAIR YANCY: So if I can, unless

1 there's a burning -- Dr. Blackstone?

2 DR. BLACKSTONE: No, it's exactly his
3 point. And that is, that the eight weeks after the
4 initial thing doesn't capture anything about the
5 re-operation, which is what we're concerned with.
6 So I think it would have to be both early, but then
7 after the subsequent re-operations, and if it is
8 put in again, there may be multiple per patient, in
9 fact.

10 CHAIR YANCY: Okay. So that's good
11 input. So, Dr. Somberg?

12 DR. SOMBERG: We did see a
13 mediastinitis at 120 days, if I remember correctly,
14 in one case. So, I think you have to, and we're
15 talking about six cases all together, so I wouldn't
16 -- 50 something days, 56 days, is not 120 days. So
17 I think that should be taken into account.

18 CHAIR YANCY: So based on the paragraph
19 that is stated in item five, if I can again put
20 together what the panel has said, this would be an
21 on-label population. The control arm would not be
22 the society of thoracic surgeons registry, but

1 would be another relevant database. The length of
2 the follow-up would have to be inclusive of a time
3 that captures the second operation.

4 The endpoint would have to be a
5 composite of mediastinitis mortality and bleeding.
6 There are secondary endpoints listed that in
7 general should be the singular components of the
8 composite and we are not yet prepared to assign a
9 non-inferiority margin. But there has been one
10 suggestion by one panel member on what that might
11 be.

12 Does that capture the flavor of the
13 panel?

14 (No response.)

15 CHAIR YANCY: Dr. Zuckerman, is that a
16 satisfactory response?

17 DR. ZUCKERMAN: Yes, that's very
18 helpful information, in terms of where we are right
19 now.

20 CHAIR YANCY: Great. I would recognize
21 that this has been a very detailed and deliberate
22 discussion. I want to thank the panel members for

1 that.

2 We cannot yet take our break because we
3 are interested in commentary from all engaged and
4 involved individuals. We had the opportunity for
5 an open public hearing this morning. We were not
6 able to host someone at that point in time, but we
7 do have a speaker now. So we will proceed with the
8 second open public hearing of this meeting. There
9 is a transcript for the comments you are about to
10 hear that are at our site and you can follow along
11 with the comments as it comes forward.

12 I'd like to welcome Peter Lurie to the
13 podium. Thank you for your patience, sir. I know
14 you wanted to go earlier, but I appreciate your
15 indulgence.

16 DR. LURIE: Thank you very much. And I
17 won't read all of this. I'll summarize the most
18 important points.

19 I am Dr. Peter Lurie. I am a
20 physician. I am with Public Citizen's Health
21 Research Group. I have no conflicts of interest to
22 declare. Public Citizen takes no money from either

1 government or any industry.

2 We believe that for an adhesion barrier
3 to be approved, there should be a clinically
4 significant improvement and, to quote the statute,
5 "a reasonable assurance of safety." We don't think
6 that either has been demonstrated in this case.

7 Let me start with efficacy. I would
8 agree that the severity of the adhesions appear to
9 have been reduced, if one believes the non-
10 validated outcome measure that is used here.

11 And I also agree with the panel that
12 there is no evidence that the incidence of
13 adhesions has been reduced. But actually, if you
14 look closely at the data, there is not evidence
15 that the extent of those adhesions has been reduced
16 either. The percentage of the operative surface
17 area with grade zero adhesions had a mean of 2.9
18 percent versus 0.90 percent, p of 0.32. So I don't
19 think that you're showing that the extent of
20 adhesions is reduced either.

21 Now all of this assumes that one buys
22 the adhesion scale, one that has never been used

1 before in any other study except to develop this
2 product. And so you know, I think we need to be
3 very careful in that respect. And I think that
4 makes me, at least, look more carefully at the data
5 operative time, because the operative time are the
6 closest thing that we have to any kind of clinical
7 benefit or assessment of clinical benefit for this
8 product.

9 As you have heard, the median times for
10 operation were not different from one another,
11 although that is true statistically, they were
12 practically identical. And for reasons unclear to
13 me, if one looks at slide 82 of the FDA's
14 presentation, this was the slide that the FDA
15 elected not to present, if you look at slide 82,
16 you see that the data on the dissection times with
17 the individual raw data are practically identical.
18 And it makes me be rather uninterested in the post
19 hoc statistical analysis by the sponsor from which
20 they excluded certain patients post hoc, you know,
21 almost at their whim, and then came up with a claim
22 of a five percent reduction in operative time.

1 The plain fact is that the operative
2 times are the same. And they are practically
3 super-imposable if you look at the raw data.

4 I would also point out that the
5 analysis of whether or not the operative time is
6 greater or lower for the REPEL group, depending on
7 whether or not severe adhesions are present, is
8 really irrelevant, because as a patient, you don't
9 know and nor does your surgeon whether or not you
10 will have a severe adhesion. So all that matters is
11 the basic, overall aggregate data, and those data
12 are not statistically significantly different.

13 I also have not heard a power
14 calculation for that, although we have heard many
15 times that it's impossible. I daresay that seeing
16 as though these are continuous outcome variables,
17 in general, the statistical power is not so bad and
18 better than for the categorical ones for which
19 there is a claim for at least some of these to have
20 sufficient statistical power.

21 Now, in fact, therefore, I think that
22 there is no evidence of clinical benefit, and it's

1 interesting to remember that historically, there
2 was a requirement for clinical benefit, at least in
3 another area in an FDA draft guidance.

4 Back in 1999, the FDA had a draft
5 guidance for abdominal and pelvic surgery. And
6 they said "Optimally, endpoints should directly
7 address clinical outcome measures . . . The most
8 direct method of providing valid scientific
9 evidence of effectiveness is to select an
10 appropriate clinical endpoint(s) and design a study
11 that may demonstrate a statistically significant
12 and clinically meaningful effect on recognized
13 adhesions-related morbidity."

14 Now, this didn't sit very well with the
15 Adhesion Barrier Task Force, a group of
16 manufacturers that included SyntheMed's predecessor
17 company. They wrote in to complain about that.
18 And not long after that, the final guidance was
19 issued by the FDA in which the whole idea of
20 clinical outcomes had been downgraded. The
21 clinical outcomes associated with adhesions may be
22 reasonably assessed by parameters which are more

1 immediately measurable and potentially less
2 confounded they said.

3 So once we thought that clinical
4 outcomes were important, somehow we don't anymore.

5 This study was not blinded. And we
6 have heard an analysis by the FDA and Dr. Xu about
7 a multi-variable analysis that took into account
8 blinding, well, un-blinding. But that analysis
9 dealt with a single surgeon who became un-blinded.

10 What I have not heard mentioned at all
11 today is reference to page 51 of the company's
12 summary of safety and effectiveness. Let me quote
13 from it. Although REPEL-CV should be absorbed
14 within 28 days, a category of pathological finding
15 described in that document as "implanted test
16 material or a fibrous capsule, or other abnormal
17 tissue was observed in 30 percent of patients in
18 REPEL-CV and two percent of patients in the
19 control group at the second sternotomy." This
20 study was un-blinded, dramatically so. And I can't
21 understand why that point was not made and why the
22 FDA did not offer that in response to somebody's

1 direct question about un-blinding.

2 The study was un-blinded and it was un-
3 blinded in a way that most likely would result in
4 an overestimation of the effectiveness of this
5 product.

6 What about safety? Well, we've heard a
7 lot about the data on safety. We've heard a lot
8 about lack of power. The fact is, the best data
9 that we have are the data that are before us. And
10 they consistently show that things are going in the
11 wrong direction.

12 If you look at death, things are going
13 in the wrong direction. Mediastinal infection,
14 wrong direction. Adverse events, possibly,
15 probably, or definitely related to the study, wrong
16 direction. They are not statistically significant,
17 but those are the best data that we have.

18 We also made a calculation of the power
19 for the mediastinitis, the point that FDA made.
20 And we calculated on our little laptop that only
21 allowed two-sided calculations of sample size, but
22 you would have to have a relative risk of ten, in

1 order to show statistical significance, if you used
2 as a comparison group the incidence of
3 mediastinitis observed in the control group in the
4 study and the usual beta equals 0.2 and then alpha
5 equals 0.05 in a relative risk of 10. And the
6 company itself says that they were only powered to
7 detect a three-fold increase in mortality.

8 Now, the statute says "reasonable
9 assurance of safety." The data show that we know
10 that this product does not kill three times as many
11 people as would be otherwise the case. And the
12 data show that it does not increase mediastinitis
13 ten-fold. Now, I'm glad for that, but a reasonable
14 assurance of safety that is not.

15 Let me make one more historical point
16 before closing. Hovering over this meeting but
17 unmentioned is the case of Intergelz. Intergelz
18 was a different product used in the pelvic region,
19 not in the thorax, but in that study, the data were
20 remarkably similar to this. No evidence of
21 clinical benefit, reduction in adhesions, and a
22 non-statistically significant trend towards an

1 increase in infection. The advisory committee
2 turned it down. The company went to a dispute
3 resolution panel, and eventually the FDA reversed
4 itself.

5 Less than two years after the device
6 was approved, the company removed the device from
7 the market due to dozens of reports of post-
8 operative pain requiring repeat surgery, foreign
9 body reactions, and tissue adherence, including
10 three deaths. This history should give one pause
11 before approving an adhesion barrier with data as
12 similar as they are here.

13 SyntheMed has simply failed to
14 demonstrate that its product will have any
15 important impact upon the public health. In order
16 to do so, the patients receiving the device have to
17 undergo re-sternotomy. But in fact, only a
18 minority of patients will do so.

19 To have a public health benefit, it
20 would have to reduce adhesions. The fact is, all
21 it does is reduce the severity of adhesions on a
22 non-validated endpoint and has no impact upon

1 extent or incidences.

2 The adhesions would have to have clear
3 clinical significance. In fact, there is no
4 evidence of that. And the best measure of clinical
5 significance that we have shows that there is no
6 impact whatsoever.

7 Finally, the product would have to have
8 an appropriate safety profile and that is not the
9 case either.

10 For those reasons, we oppose approval
11 of this device. Thank you.

12 CHAIR YANCY: Thank you, Dr. Lurie.

13 We are at a point now where we will
14 break for the afternoon. Let me provide a few
15 directives.

16 For the sponsor, again, thank you for
17 your patience. During the break, there is an
18 opportunity for you to craft a limited response to
19 the discussion you have most recently heard.

20 For the panel's benefit, there will not
21 be a Q and A following the sponsor's remarks. It
22 will simply be a summation statement that we are to

1 incorporate in our deliberations.

2 FDA will have an opportunity to make a
3 summation statement, should they wish, but it's not
4 required.

5 Let me also bring to your attention
6 that there is a flow chart in the folder at your
7 spot and it allows you to understand the voting
8 options. You might want to take a look at that
9 before we come back. And after we have heard the
10 summation statements, we will then vote according
11 to this template.

12 Thank you everyone for your patience.
13 We will reconvene in 15 minutes at 4:30.

14 (Whereupon, the meeting went off the
15 record at 4:21 p.m. and went back on the record at
16 4:39 p.m.)

17 CHAIR YANCY: Again, I want to thank
18 everyone for their patience. I know we've had some
19 deliberative discussions today, but it's been all
20 for the good.

21 To begin with, is there is any further
22 comment from the FDA? Any points of clarification?

1 Any issue you wish to address?

2 DR. ZUCKERMAN: No, there isn't, Dr.
3 Yancy.

4 CHAIR YANCY: Dr. Patel?

5 (No response.)

6 CHAIR YANCY: Thank you. Sponsor, if
7 you can limit your comments to within ten minutes,
8 that would be preferable.

9 DR. DiZEREGA: Well the sponsor would
10 like to begin by thanking Dr. Yancy for moderating
11 a very productive and informative meeting. I think
12 it's been very good, certainly for us. We've
13 learned a great deal and we've enjoyed all of your
14 comments.

15 In order to bring those comments into a
16 final focus, we have prepared just a single slide,
17 that gives summation, after listening to your
18 suggestions and recommendations and knowing what we
19 do about our program.

20 The first thing that we would like to
21 just clarify, because there was some discussion
22 about this. This wasn't literally accurate.

1 Although there are adhesion prevention devices
2 approved below the diaphragm, and that's a good
3 term, there are in fact no FDA approved adhesion
4 prevention products for use for cardiac adhesions
5 to be used above the diaphragm. So this would be
6 the first one, if in fact it is approved.

7 The second point is, we did a number of
8 preclinical studies. Dr. Pines shared with you
9 some of our more clear-cut ones, that led us on to
10 be getting our clinical trials. But in all of our
11 preclinical studies, we in fact found very good
12 safety and effectiveness and all of these studies
13 were performed in adults. We used typical dog
14 models they used in cardiovascular surgical
15 preclinical studies, as well as rabbits. And we
16 found very good results in these populations.

17 The second point is that being the
18 author of essentially a hundred adhesion prevention
19 papers and having authored three books, etcetera,
20 as well as my colleagues, it is our clear
21 conviction that adhesion formation is independent
22 of age. If we are able to reduce adhesions in one

1 age population, there is no extended data that
2 we're aware of that would suggest those data in
3 fact or not extrapolatable to a different age
4 population.

5 In terms of what we've been doing to
6 really deal with the problems that the panel has
7 wrestled with today, this is something that we've
8 been thinking about over ten years. During the
9 process that we performed the four clinical trials
10 that we have discussed with you, we have in fact
11 engaged multiple experts, cardiovascular surgeons
12 in the United States, around the world, pediatric,
13 adult, as you might imagine. And in every
14 instance, in every instance, it has become very
15 clear and it was said many times today, Dr. Yancy,
16 that there are no models to evaluate effectiveness
17 in a randomized clinical trial in adult sternotomy
18 patients.

19 In fact, had there been a model, had
20 there been something that could have ethically been
21 done in a randomized clinical trial format, that's
22 what we would have done for all these obvious

1 reasons, as well as comparability of data to other
2 situations. We would like to do a randomized
3 clinical trial in adults. We just simply can't
4 find a way to do it in an ethical environment that
5 meets with any kind of clinical standard practices.

6 The clinical models that are available
7 restrict us into a very narrow indication in
8 pediatric patients. And that of course, is what
9 you have been deliberating. Our study was done in
10 the pediatric population. We absolutely believe
11 that was the only study that could have been done.

12 And we believe that, based on the
13 physiology and pathophysiology of mesothelial
14 repair and adhesion formation, that in fact that
15 data is indeed independent of age from a
16 physiological point of view.

17 Our randomized perspective multi-center
18 study involved in fact 142 patients that have been
19 evaluated over this four year period of time. We
20 believe that, in fact, supports reasonable safety
21 and effectiveness in a population that is likely to
22 undergo subsequent sternotomies in the future. In

1 a population that is likely to undergo subsequent
2 sternotomies in the future, 142 patients with very
3 similar results, as they have been collected over
4 this period of time.

5 And as a result, we would like to
6 recommend that the label approve REPEL-CV for
7 patients who may undergo subsequent second
8 sternotomies and that is, in fact, independent of
9 age.

10 There are two very important points
11 that come out of this. One, with that type of
12 label, we in fact would be able to conduct a
13 meaningful post-approval study. The very kind of
14 study that you have been deliberating. With this
15 type of label, we could do a post-approval study to
16 get the kind of information that we would all like.

17 In addition, the label approving for
18 patients who may undergo a subsequent second
19 sternotomy independent of age, would also the
20 clinical community to utilize the product on label
21 in that are expected to undergo a subsequent
22 sternotomy. We don't sue products off label on a

1 regular basis in our clinical practices. In fact,
2 at USC, you just simply don't do that for lots of
3 reasons. Things have clearly changed. We follow
4 label restrictions. We believe, with 142 patients
5 over this period of time, we have shown reasonable
6 safety and effectiveness by restriction this based
7 on age. In fact, those patients would be penalized
8 or denied on-label use of what we think is a very
9 important product and for which they have no
10 alternative.

11 Now, I would like to thank Dr. Yancy
12 for the time and the panel for their deliberations.

13 CHAIR YANCY: Thank you very much.

14 We're now ready to vote on the panel's
15 recommendation to FDA for this PMA, pre-market
16 application.

17 Mr. Swink will now read the panel
18 recommendation options for pre-market approval
19 applications. Mr. Swink.

20 MR. SWINK: The medical device
21 amendments to the Federal Food Drug and Cosmetic
22 Act, as amended by the Safe Medical Devices Act of

1 1990 allows the Food and Drug Administration to
2 obtain a recommendation from an expert advisory
3 panel on designated medical device pre-market
4 approval applications that are filed with the
5 agency.

6 The PMA must stand on its own merits.
7 Any recommendation must be supported by safety and
8 effectiveness data in the application or by
9 applicable publicly available information. The
10 definitions of safety, effectiveness, and valid
11 scientific evidence are as follows.

12 Safety, as defined in 21 C.F.R. Section
13 860.7(d)(1). There is reasonable assurance that a
14 device is safe when it can be determined based upon
15 valid scientific evidence that the probable
16 benefits that health from use of the device for its
17 intended uses and conditions of use, when
18 accompanied by adequate directions and warnings
19 against unsafe use outweigh any probable risk.

20 Effectiveness as defined in 21 C.F.R.
21 Section 860.7(e)(1). There is reasonable assurance
22 that a device is effective when it can be

1 determined based upon scientific evidence that in
2 a significant portion of a target population, the
3 use of the device for its intended uses and
4 conditions of use when accompanied by adequate
5 directions for use and warnings against unsafe use
6 will provide clinically significant results.

7 Valid scientific evidence, as defined
8 in 21 C.F.R. Section 860.7(c)(2) is evidence from
9 well controlled investigations, partially
10 controlled studies, studies in objective trials
11 without matched controls, well-documented case
12 histories conducted by qualified experts, and
13 reports of significant human experience with a
14 marketed device from which it can fairly and
15 reasonably be concluded by qualified experts that
16 there is a reasonable assurance of safety and
17 effectiveness of a device under its conditions of
18 use.

19 Isolated case reports, random
20 experience reports lacking sufficient details for
21 its scientific evaluation in unsubstantiated
22 opinions are not regarded as valid scientific

1 evidence that shows safety or effectiveness.

2 Your recommendation options for the
3 vote are as follows.

4 Number one, approval. This is in case
5 there is no conditions attached.

6 Number two is approval with conditions.
7 The panel may recommend that the PMA be found
8 approval subject to specific conditions, such as
9 physician or patient education, labeling changes,
10 or a further analysis of existing data. Prior to
11 voting, all of these conditions should be discussed
12 by the panel.

13 Number three, not approvable. The
14 panel may recommend that the PMA is not approvable
15 if the data do not provide a reasonable assurance
16 the device is safe or the data do not provide a
17 reasonable assurance the device is effective under
18 the conditions of use prescribed, recommended or
19 suggested in the proposed labeling.

20 Thank you.

21 CHAIR YANCY: Are there any questions
22 from the panel about these voting options before I

1 ask for a main vote on this PMA?

2 Again, I would call your attention to
3 the flow chart that should be at your seat.

4 Yes?

5 DR. KATZ: For the conditions, you said
6 to reevaluate existing data only, not to obtain new
7 data?

8 MR. SWINK: Yes, if you require new
9 data, then this cannot be approved.

10 CHAIR YANCY: Any other questions from
11 the panel regarding the voting options? Again
12 approval, approval with condition, or not approve.

13 DR. JEEVANANDAM: Are we voting exactly
14 on the labeling as stated or as we discussed here?

15 CHAIR YANCY: The way that situation
16 works, and I will invite clarification if I
17 misspeak, we are voting on what is on the
18 application, with the labeling language as
19 submitted by the sponsor.

20 If there is a sense that the labeling
21 language needs to be changed or altered, my
22 understanding is that would be a condition. Is

1 that correct, Dr. Zuckerman?

2 DR. ZUCKERMAN: Yes. So I think his
3 question was, under which bin would that fall.
4 That would be approvable with conditions.

5 CHAIR YANCY: Does that help?

6 DR. JEEVANANDAM: Yes.

7 CHAIR YANCY: Other questions from the
8 panel about our charge and our options? Dr.
9 Somberg.

10 DR. SOMBERG: Yes, Dr. Yancy, it's been
11 my experience that there is usually a motion
12 requested and that the motion sets the parameters
13 where we're now going to go through this algorithm.
14 Is that a new branch?

15 CHAIR YANCY: We haven't gone to the
16 motion yet. We're just getting clarification on
17 the procedure.

18 DR. SOMBERG: What I'm just asking is,
19 if you entertain a motion, the motion, to answer
20 Vall, if I may also, the point is that was raised,
21 is the motion may set the parameters for the
22 approval.

1 CHAIR YANCY: And that is the next line
2 item on our agenda, to request a motion. But this
3 is just for points of clarification, but I
4 appreciate the input.

5 If there are not any other questions of
6 clarification of the process, then we are ready to
7 entertain a motion. And the motion that we're
8 looking for is for either approval of the PMA as we
9 see it, approvable with conditions, or non-
10 approvable.

11 Dr. Somberg.

12 DR. SOMBERG: Well I would like to move
13 that we move to approve this PMA with the
14 indication for patients who are expected to undergo
15 a sternotomy, repeat sternotomy within 24 months.

16 CHAIR YANCY: So to be clear, and I'm
17 going to have to modify what you said, just as a
18 function of protocol. The motion on the table is
19 approvable with conditions. We will address the
20 conditions later but the motion on the table is
21 approval with conditions.

22 DR. HOPKINS: Second.

1 CHAIR YANCY: That motion has been
2 forwarded and now second. And we can discuss just
3 that motion, not yet the conditions. Is there a
4 discussion?

5 DR. HOPKINS: I'll start. I think that
6 there was no evidence that the safety parameters
7 were different between the two groups and that the
8 primary endpoint of the study which was designed in
9 association with the FDA's recommendations or
10 suggestions, I should say, did show the primary
11 endpoint to be efficacious. However, we have all
12 discussed some of the limitations that we see
13 within the study in terms of approvability. But I
14 think it's approvable with conditions and would
15 recommend that.

16 CHAIR YANCY: Is there further
17 discussion on this motion? This is simply
18 approvable with conditions, without enumerating the
19 conditions.

20 Dr. Neaton.

21 DR. NEATON: I also support approval
22 with conditions, but more restrictive than was

1 suggested. So, we have a study of 142 people with
2 clear evidence of a surrogate, which you know,
3 prior opinion would suggest that would have effect
4 on clinical outcomes of interest that it doesn't
5 and some questionable data about safety.

6 And so I think that expanded indication
7 for this is not warranted and should only come
8 after further studies are done.

9 CHAIR YANCY: Is there any further
10 discussion? Because we're ready to take the
11 conditions, if this is the case. Dr. Domanski.

12 DR. DOMANSKI: Yes, I guess this is a
13 general comment. I think it's inappropriate to do
14 it for all age groups when it's a sufficiently
15 strong study in my view to be approvable with
16 conditions, but not very broad ones.

17 CHAIR YANCY: Okay, so let's begin to
18 take the conditions. So I need a motion for the
19 first condition. And the way this process will
20 work is that we will need to vote on each
21 condition.

22 Yes, Dr. Somberg.

1 DR. SOMBERG: Just a very brief
2 comment, and then the condition I would like to
3 propose is in light of the discussion, I think we
4 should also be cognizant of what approval would
5 facilitate getting the data we need, realizing that
6 studies in adults for efficacy and safety is going
7 to be very, very, very difficult and was not
8 initially proposed.

9 With that said, I propose that it be
10 approved for patients over the condition that there
11 be, I guess the condition is that there be an
12 indication for repeat sternotomy within 24 months.

13 CHAIR YANCY: So the motion that has
14 been made is this PMA is approvable with condition
15 and the condition is for patients who have a
16 likelihood of having a repeat sternotomy within --

17 DR. SOMBERG: I said 24 months.

18 CHAIR YANCY: Twenty-four months. Is
19 there a second for that, approval with that
20 condition and that time frame?

21 DR. KATZ: Second.

22 CHAIR YANCY: There is a second. We

1 will now vote on that condition, approvable with
2 condition, with the motion and the condition as
3 stated. Do I need to repeat the condition?

4 So the condition is to approvable with
5 conditions and the condition is indicated for, and
6 I want to be clear, did you intend to say all
7 patients or are you specifically speaking just
8 about the re-operation issue?

9 DR. SOMBERG: You said you wanted to
10 talk about one condition at a time.

11 CHAIR YANCY: That's right. I just
12 want to have your language clear.

13 DR. SOMBERG: Yes, it's repeat, the
14 likelihood of repeat sternotomy in a period of 24
15 months, unless somebody has a good reason to
16 restrict or expand that.

17 CHAIR YANCY: Okay, so the motion has
18 been approvable with condition and the condition is
19 patients who have a likelihood for repeat
20 sternotomy within 24 months. We need to vote on
21 this motion with condition.

22 Yes?

1 DR. BLACKSTONE: Could you clarify?
2 Must we vote no if we think the two years is not
3 appropriate or is it a discussion about that?

4 CHAIR YANCY: It is specifically with
5 the language we have just outlined.

6 DR. BLACKSTONE: Okay.

7 DR. SOMBERG: If you're willing to
8 modify it.

9 CHAIR YANCY: So at this point, we have
10 to vote on this condition. We have to vote on this
11 condition, now, Mike.

12 DR. DOMANSKI: Yes, I know. But I
13 mean, and this discussion is a little bit of
14 clarification. That condition, you know about the
15 24 months, but then we're going to go to other
16 conditions. Is that right?

17 CHAIR YANCY: That is --

18 DR. DOMANSKI: Like restricting the
19 age?

20 CHAIR YANCY: -- correct. That is
21 correct.

22 So should I restate this once again?

1 Are we clear? We are voting on approval with
2 condition in patients who have a high likelihood of
3 a repeat sternotomy within 24 months.

4 DR. PAGE: I'm sorry, Dr. Yancy. I'm
5 still unclear. If I vote yes to this and there is
6 a subsequent vote, another condition on an age
7 limit and that's say voted down, does my vote then
8 stand as if I had voted in favor of this as a
9 stand-alone? Because in my mind, I cannot vote for
10 this approval with this one condition. But I'm
11 assuming that this condition is being added to the
12 eventual motion where we approve with the
13 conditions that we agree upon. Is that correct?

14 CHAIR YANCY: If the language we have
15 just used is not acceptable, then you should vote
16 accordingly.

17 So the clarification is that if you
18 don't like one of these conditions, you can vote
19 against the motion, but it doesn't affect the
20 status of approvable with condition, we just have
21 to go on to the next condition. Was that
22 clarification?

1 DR. PAGE: I'm sorry, but I'm still
2 unclear.

3 CHAIR YANCY: Okay. We are going to
4 vote on approvable with condition. And the first
5 condition is the context of the vote. It doesn't
6 disqualify the original statement of approvable
7 with condition. The first vote is specifically
8 about condition number one. And condition number
9 one is in patients with a high likelihood of repeat
10 sternotomy within 24 months. If that language is
11 unacceptable, you should vote accordingly. If it
12 is acceptable, you should vote accordingly.

13 Dr. Zuckerman, did you have a comment?

14 DR. ZUCKERMAN: No. Perhaps again, it
15 would be helpful if people just look at the
16 flowchart. Dr. Yancy is following the flowchart.

17 CHAIR YANCY: All in favor of this
18 motion, please raise your hand and condition to
19 raise your hand so that we can call the names of
20 those who vote in favor.

21 (Show of hands.)

22 CHAIR YANCY: Doctors Hopkins, Katz,

1 Zahka, Somberg, Weinberger vote in favor of this
2 motion.

3 Those opposed?

4 (Show of hands.)

5 CHAIR YANCY: Doctors Hirshfeld,
6 Domanski, Page, Neaton, and Blackstone vote
7 against.

8 DR. JEEVANANDAM: Clyde can I -- I'm
9 sorry. Maybe I'm confused. So we're voting right
10 now to see --

11 CHAIR YANCY: Just on the condition.

12 DR. JEEVANANDAM: The condition of high
13 probability of re-operation in two years?

14 CHAIR YANCY: In 24 months.

15 DR. JEEVANANDAM: In 24 months.

16 CHAIR YANCY: That's what we're voting
17 on.

18 DR. JEEVANANDAM: And --

19 CHAIR YANCY: And if it's voted down --

20 DR. JEEVANANDAM: -- you will have
21 another condition after that?

22 CHAIR YANCY: -- we can put another

1 condition in, yes.

2 DR. JEEVANANDAM: Okay. I need to vote
3 yes, then.

4 CHAIR YANCY: Okay, so I --

5 DR. JEEVANANDAM: I didn't vote at all.
6 Sorry.

7 CHAIR YANCY: -- need to do this again.
8 So, I'm going to make this very simple. This is
9 the vote. Condition number one, high likelihood of
10 re-operation within 24 months. Those in favor?

11 (Show of hands.)

12 CHAIR YANCY: Doctors Hopkins, Katz,
13 Zahka, Jeevanandam, Somberg, Weinberger.

14 Those opposed?

15 (Show of hands.)

16 CHAIR YANCY: Neaton, Blackstone, Page,
17 Domanski, and Hirshfeld.

18 DR. PAGE: And just so it's clear I'm
19 voting against this approvability with this
20 condition as a stand-alone.

21 CHAIR YANCY: Your vote is just on this
22 condition.