

**I N D E X**

December 10, 1999

Page**SESSION 2: DISCUSSION OF RISK STANDARD****Chair: Dr. Keith Sterner**

## INTRODUCTION

Keith Sterner, D.V.M.

VMAC

## ASSESSMENT OF RISK: FOOD ADDITIVES

Alan Rulis, Ph.D.

Food and Drug Administration

Center for Food Safety and Applied Nutrition

## ASSESSMENT OF RISK: DRUG RESIDUES

Kevin Greenlees, Ph.D.

Food and Drug Administration

Center for Veterinary Medicine

## ASSESSMENT OF RISK: PESTICIDES

Roy Sjoblad, Ph.D.

U.S. Environmental Protection Agency

Office of Pesticide Programs

## ASSESSMENT OF RISK:

## MICROBIOLOGICAL RISKS

Dick Whiting, Ph.D.

U.S. Environmental Protection Agency

## ASSESSMENT OF RISK: WATER

Steve Shaub, Ph.D.

U.S. Environmental Protection Agency

Office of Science and Technology

## ASSESSMENT OF RISK:

## PATHOGENS ON MEAT

Kenneth Petersen, Ph.D.

United States Department of Agriculture

Food Safety Inspection Service

## HUMAN HEALTH IMPACT FROM

## FOOD-BORNE DISEASE

Dr. Fred Angulo

Center for Disease Control

Food-borne Diarrheal Diseases

*Audio Associates*

1-301-577-5882



I N D E XPage

HUMAN HEALTH IMPACT OF  
RESISTANT FOOD-BORNE DISEASE  
J. Glenn Morris, Jr., M.D.  
University of Maryland Medical School  
Department of Epidemiology and Medicine

INTERPRETING AND WEIGHING RISK  
Will Hueston, D.V.M.  
Center for Government & Corporate  
Veterinary Medicine

EVALUATING RISK FROM RESISTANT  
PATHOGENS UNDER FFDCA  
Linda Tollefson, D.V.M.  
Food and Drug Administration  
Center for Veterinary Medicine

PANEL DISCUSSION: HOW SHOULD CVM  
EVALUATE RISK FROM RESISTANT PATHOGENS  
Moderator: Dr. Keith Sterner

J. Glenn Morris, Jr., M.D.  
University of Maryland Medical School  
Department of Epidemiology and Medicine

Dr. Lester Crawford  
Georgetown University  
Center for Food & Nutrition Policy

Dr. Patricia Lieberman  
Center for Science in the Public Interest

Scott McEwen, Ph.D.  
University of Guelph  
College of Veterinary Medicine

Dr. Fred Angulo  
Center for Disease Control  
Food-borne Diarrheal Diseases

Kent McClure, Esquire, D.V.M.  
Animal Health Institute

Michael D. Apley, Ph.D.  
American Veterinary Medical Association

I N D E XPage

Keith Sterner, D.V.M.  
VMAC

QUESTIONS/COMMENTS TO THE PANEL

ASSESSMENT OF RISK: CONTAMINANTS  
Dr. Michael Bolger  
Center for Food Safety and Applied Nutrition

**PUBLIC COMMENT PERIOD**

**SETTING THRESHOLDS AND NEXT STEPS**

Dr. Sharon Thompson  
Food and Drug Administration  
Center for Veterinary Medicine

Keynote: --- indicates inaudible in transcript.



1 model was workable within the parameters of the given  
2 assumptions.

3           Today we have a very full agenda. And I intend to  
4 be relentless in keeping us on task. Given the intellectual  
5 prowess and the large volume of information our speakers  
6 wish to convey to us today, my task is somewhat akin to that  
7 of trying herd cats.

8           We all have to eat. As biological entities, it is  
9 not an option to opt-out. We do as individuals, however,  
10 have choices. Our deliberations here will have significant  
11 and far-reaching impact on many individuals and industries  
12 not only in the United States, but also in the rest of the  
13 world.

14           These workshops that the CVM is sponsoring will  
15 help to set policy that will hopefully emphasize and ensure  
16 a meaningful, positive public health impact while not  
17 creating too great a barrier to the animal, agriculture and  
18 pharmaceutical industries that will most directly be  
19 affected.

20           It should be apparent to almost everyone that it  
21 is entirely possible that the human health problems  
22 discussed yesterday could be far more easily impacted  
23 through food processing technology that would render foods  
24 of animal origin sterile, at least as far as the point of  
25 purchase and that removal of fluoroquinolones entirely or

1 for that matter all antimicrobials would not ensure that  
2 human illness would not or could not occur.

3           That fact has long been recognized in one food of  
4 animal origin. That is milk. Indeed, pasteurization has  
5 helped to ensure that a nutritious food product that was  
6 safe for human consumption.

7           Food production and processing, however, are not  
8 the subject or the focus of this workshop. We are here to  
9 comment on the current state of knowledge as it would apply  
10 to the veterinary antimicrobial drug approval process.

11           If by some small miracle we complete our dialogue  
12 on the risk assessment model, we may even broach the subject  
13 of thresholds. That may prove to be at best an illusory  
14 promise. Speaking as the moderator of this session, I do  
15 intend, however, to try and attain that goal. I will remind  
16 the speakers to try very hard to stay on time so that we can  
17 stay on schedule.

18           With that, our first speaker this morning is Dr.  
19 Alan Rulis. He is with the FDA since 1977. He holds a B.A.  
20 in chemistry from Logastana College in Illinois and a Ph.D.  
21 in 1972 in chemistry from the University of Wisconsin.  
22 Prior to joining the FDA, he did post-doctoral research in  
23 chemistry in the Netherlands and Canada and taught chemistry  
24 at the University of Toronto in Canada. He will be under  
25 assessment of risk looking at food additives. Alan.

1                   **ASSESSMENT OF RISK:   FOOD ADDITIVES**

2                   **Alan Rulis, Ph.D.**

3                   DR. RULIS: All right. Thank you. Can I be heard  
4 in the back there? Am I clear here. Okay. I am going to  
5 spend my time to give a broad overview of the safety  
6 standard that is used in the food additives area. My  
7 responsibility ---

8                   (Audio missing due to technical malfunction.)

9                   --- we are in the Center for Food Safety and  
10 Applied Nutrition.

11                   (Slide.)

12                   And the statute that governs --- all the way back  
13 to 1938 had an adulteration standard, which you can see up  
14 here, 402(A)(1). Food is adulterated if it contains any --  
15 if it bears or contains any poisonous or deleterious  
16 substance which may render injurious to health.

17                   But in the case of substances not an added  
18 substance, such food shall not be considered adulterated  
19 under this clause if the quantity of the substance in such  
20 food is not ordinarily rendered injurious to health. So  
21 that is the adulteration standard that had been in effect  
22 since 1938.

23                   In 1958, Congress enacted the Food Additives  
24 Amendment to that statute. And on the bottom, you will see  
25 -- and we will raise it up just a little bit -- you will see

1 a Section 402(A)(2)(c) says it is adulterated if it is or  
2 bears or contains any food additive which is unsafe within  
3 the meaning of 409. So that clause was added in 1958.

4 Okay. Go ahead and change the slide.

5 (Slide.)

6 Now, the Act as we use it in the food additive  
7 area, in '58 and '58 amendments, defines food additive. It  
8 requires pre-market approval for new uses of food additives.

9 It establishes the standard of review which we will talk  
10 about briefly. It establishes the standard of safety which  
11 is one of the topics that you are interested in this  
12 workshop. And it establishes formal rule-making procedures  
13 for effectuating our decisions. Okay, next.

14 (Slide.)

15 Just for your edification, there are some  
16 characteristics of food additive approvals that are unique,  
17 a little bit different from some of the other approval  
18 activities that FDA engages in. And I want to just focus on  
19 a few of this at this time. The first one is that approvals  
20 are safety-based only. There is no explicit balancing of  
21 risks and benefits. Okay? Safety per se is the standard.

22 The kinds of substances that we review for safety  
23 in the food additive area are generally not very toxic in  
24 comparison to what you might think of industrial chemicals  
25 or possibly drugs that have distinct pharmacological effects

1 on living systems. These effects that we study are  
2 generally subtle and chronic.

3 Food additives are consumed for a lifetime by all  
4 segments of the population. So the target population is  
5 everybody from infants to aged people. The statute requires  
6 that a food additive cannot be approved until a regulation  
7 is published in the Federal Register. So there is rule-  
8 making required by Section 409. It is formal rule-making  
9 and there is an opportunity for objections, hearings and  
10 court challenges.

11 And a Federal Register preamble is usually  
12 prepared laying out the rationale for FDA's approval or  
13 denial of a petition. Further, the regulations that are  
14 issued are generic. They are not licenses in the drug or  
15 device sense. Anyone who is in compliance with the  
16 conditions of use laid out in a regulation may add that  
17 additive to food, although some additive approvals are  
18 protected by patent legislation or patent -- existence of a  
19 patent.

20 Careful consideration of these conditions of safe  
21 use is therefore required prior to any decision. And  
22 usually in our area, there has not been a lot of extensive  
23 pre-filing interaction, although I think we are moving more  
24 in that direction. This is in contrast to the drug  
25 approvals that the Agency gets. Okay.

1 (Slide.)

2 Now, the term, "food additive", is very broadly  
3 defined. It is any substance, the intended use of which  
4 results or may reasonably be expected to result directly or  
5 indirectly in its becoming a component or otherwise  
6 affecting the characteristics of any food.

7 And then there are exclusions. And of course,  
8 pesticide chemicals are excluded, animal drugs are excluded.

9 But it is a broad definition when you are in the  
10 food area itself.

11 We exclude a huge category of substances, those  
12 that are generally recognized as safe. And that is a  
13 common-sense exclusion that Congress realized they had to  
14 put in there because otherwise if you make the food by  
15 mixing foods, by this definition, of butter and eggs and  
16 vegetable oil, it would be food additives. And, of course,  
17 they are not. Okay, next.

18 (Slide.)

19 Now, the statute talks about safety, but rather  
20 circuitously and in a not very helpful way. It says in  
21 effect that the food additives shall with respect to any  
22 particular use be deemed to be unsafe unless there is in  
23 effect a regulation prescribing the conditions under which  
24 the additive may be safely used. But it doesn't here define  
25 safely.

1 (Slide.)

2 And in the next overhead, you will see, again, it  
3 says that the Agency shall by order establish a regulation  
4 prescribing the conditions under which such additive may be  
5 safely used and the reasons for such action. But again, no  
6 definition of safely. Okay.

7 (Slide.)

8 Just for your edification, in the process that  
9 goes on in the food additive area, petitioners responsible  
10 for establishing the safety of the requested use, the burden  
11 is on the petitioner. This is a pre-market approval system.

12 FDA is responsible for conducting a full and fair  
13 evaluation of the data and issuing a regulation if we  
14 believe that the use is, in fact, safe. We do not consider  
15 the benefits of the use of the additive.

16 (Slide.)

17 The standard of review is a fair evaluation of the  
18 data. That is a legal standard, fair evaluation of the  
19 data. There is some legislative history behind that. Next  
20 overhead.

21 (Slide.)

22 References a House report back in 1958. "The  
23 Committee feels that the Secretary's findings of fact and  
24 order should not be based on isolated evidence in the record  
25 which evidence in and of itself may be considered

1 substantial without taking account of the contradictory  
2 evidence of equal or even greater substance." In other  
3 words, the whole record has to be looked at.

4 (Slide.)

5 Again, the statute, "No such regulation shall  
6 issue if a fair evaluation before the Secretary fails to  
7 establish that the proposed use of the additive under the  
8 conditions to be specified will be safe." Again, no  
9 definition of safety here.

10 And the last part of that long version that I have  
11 up there is, in fact, a food additive part, the food  
12 additive version of the Delaney Clause which says that a  
13 food additive cannot be a carcinogen. Okay.

14 (Slide.)

15 The help on the safety definition came from  
16 Congress in the legislative history of the Act. And so here  
17 we see that safety finally is defined by Congress for us in  
18 the legislative history. "Safety requires proof of a  
19 reasonable certainty that no harm will result from the  
20 proposed use of an additive."

21 Reasonable certainty of no harm thankfully was  
22 what Congress gave us as a handle to help us deal with this  
23 definition of safety. And it has been -- I think some folks  
24 have felt it to be not that helpful. Actually, it turns out  
25 I think with the tradition of 40 years of experience in this

1 area and with a lot of thought, the reasonable certainty of  
2 no hard standard has become I think a useful tool.

3 Congress went on in their legislative history to  
4 the '58 Act and said that in addition to saying that safety  
5 is reasonable certainty of no harm, that the standard does  
6 not and cannot require proof beyond any possible doubt that  
7 no harm will result under any conceivable circumstance.

8 So this is an admission, in fact, that science  
9 cannot prove things with absolute certainty. Certainly, you  
10 cannot prove, you know, a lack of any risk with absolute  
11 certainty. So reasonable certainty is what you have to work  
12 with. And it is, in fact, a no harm standard.

13 So what you are after is no harm, but you know  
14 that you can't get there except by reasonable certainty.  
15 And that means there will be some uncertainty. There will  
16 be some residual uncertainty in the decisions. Next.

17 (Slide.)

18 So the standard of safety is that the petitioner  
19 has the burden to demonstrate a reasonable certainty of no  
20 harm from the intended use. And one of the ways we describe  
21 this is to say that the -- this requires that the FDA assess  
22 whether it has received adequately documented answers to  
23 appropriate questions of probative value. Okay, adequately  
24 documented answers to appropriate questions of probative  
25 value. Okay.

1           Now, to help tease out this reasonable certainty  
2 of no harm definition, we have tried to put a few points up  
3 here about what we think it is not. What it is not is it is  
4 not an academic inquiry. We are not after the answer to  
5 every conceivable question.

6           It is not a search for complete knowledge. It is  
7 not intended to assure, nor is it possible to ensure safety  
8 with absolute certainty. In other words, reasonable  
9 certainty of no harm is the goal. And what we are not after  
10 is certainty of no theoretical possibility of harm. That is  
11 sometimes what people think it is, but that is not the goal.

12           It does not weigh risks and benefits. And it is  
13 not intended to enforce or limit consumer choices among safe  
14 foods. It is not an ethical standard. It is not a value  
15 standard about what foods people should select to eat.

16 Okay.

17           (Slide.)

18           What it is -- what it does do, in fact, is ensure  
19 safety. It is a consensus decision among our reviewers made  
20 under uncertainty. And that provides a fair evaluation of  
21 all the data of record. Remember the standard of review.

22           In the end, it has to protect public health. It  
23 is made in the absence of complete knowledge. We admit up  
24 front that there will be residual uncertainty. It will  
25 withstand scientific, procedural and legal challenge from

1 all sides. And there will be residual uncertainty, but we  
2 try to keep that residual uncertainty not out of line with  
3 what has been previously tolerated in the context of all  
4 previous similar safety decisions.

5           So the idea here is that once you have developed  
6 an institutional framework and a base of institutional  
7 knowledge, you can gage your decisions on whether or not the  
8 residual uncertainty is out of line with the decisions you  
9 have made in the past. And this is very helpful, although  
10 in new areas this can be perplexing because we don't always  
11 know all the questions that have to be asked and we are not  
12 always sure that the answers purport with the standard of  
13 safety. And so in some cases in the new areas, we have to  
14 feel our way.

15           (Slide.)

16           My last slide is along those lines just to point  
17 out that as we move from the trivial situations of tiny  
18 exposures to, let's say, even packaging materials. In the  
19 upper left, we have low exposure. And at the lower right,  
20 we have high exposure. Think of this little road way,  
21 sometimes people refer to it as the yellow brick road --  
22 this little road way as a spectrum upon which food additives  
23 are laid out from low exposure to high exposure.

24           The low exposure, we have maybe packaging  
25 materials that migrate into foods in minuscule quantities,

1 parts per billion or less. And in the lower right in the  
2 large part of the road way, you will see whole foods,  
3 additives that are added in large quantities, macro-  
4 additives.

5 Of course, we don't regulate whole foods as food  
6 additives. But there are macro-additives that are added to  
7 food and can be regulated that way.

8 And in the traditional low exposure part of that  
9 spectrum, we apply what we call a toxicology-based review.  
10 It is a classical toxicological approach that basically uses  
11 animal feeding studies, assesses the lowest -- assesses the  
12 most sensitive, longest duration study to determine what is  
13 the dose, what is the highest no-effect level. In other  
14 words, what is the dose that is known not to cause an  
15 adverse effect and what is the highest value of that dose in  
16 the animal species of the longest duration, most sensitive  
17 study.

18 And that highest no-effect level then is reduced  
19 by an uncertainty factor. Typically, it is a factor of 100  
20 that is really two factors of ten that have to do with the  
21 variation among humans and the translation of the data from  
22 animals to humans. And what you arrive at is an acceptable  
23 daily intake, ADI which many of you are familiar with.

24 That ADI is compared with the likely exposure. We  
25 are charged by the statute to determine the probable

1 exposure to humans in the course of our safety evaluation.  
2 The probable exposure is sometimes called the estimated  
3 daily intake, or the EDI.

4           The ADI and the EDI are compared. And when the  
5 estimated daily intake is determined not to exceed the ADI,  
6 the acceptable daily intake, then we have determined as a  
7 matter of science and as a matter of law in this case that  
8 we are in compliance with -- the petitioner has met the  
9 standard of reasonable certainty of no harm. So that is the  
10 classical picture.

11           Now, when we move into the macro-ingredients where  
12 there are lots of other kinds of questions than just simply  
13 classical toxicological end points, the picture gets a  
14 little more complicated. And in the newer types of  
15 additives that we have had to deal with that push more in  
16 the direction of macro-ingredients or functional foods, we  
17 are also considering nutrition-related questions such as  
18 vitamin depletion or gastrointestinal effects.

19           And Olestra is a good example of an additive that  
20 we reviewed that is also -- was subjected to toxicological  
21 review in a classical sense, but had, as well, a nutritional  
22 component to its review. Nevertheless, the safety standard  
23 was the same reasonable certainty of no harm.

24           And the decision was made in that light and was  
25 described. And all decisions on that end of the spectrum

1 are described in the same way in the Federal Register. Here  
2 is the standard; how did we get there, just the logical  
3 series of steps that leads you to the conclusion that you  
4 have met reasonable certainty of no harm.

5           So this was just a quick overview of the statutory  
6 framework, the standard of use in the food additive area;  
7 some glimpse at how it is evolving to take into account new  
8 kinds of additives that we have to deal with. And I hope it  
9 is helpful to your workshop. And I would be happy to take  
10 any questions that you have.

11           (Applause.)

12           MS.           : I wanted to know what the  
13 responsibility of CFSAN is after a food additive has been  
14 approved to monitor whether its use is coming up as  
15 anticipated? And also, what are the regulations that they  
16 are required to go through if they want to withdraw a food  
17 additive?

18           DR. RULIS: Right. Okay. Well, safety is really  
19 a function of time. It is not static. So once something is  
20 approved, it is not -- it is on the books. And if nothing  
21 else happens, it is on the books forever. But we know that  
22 safety is a function of time.

23           So new toxicological information could come up.  
24 The exposure could change. And so as a result of that, we  
25 monitor the use of food additives over time. We keep track

1 of their exposures in the population. We monitor literature  
2 to determine if anyone has done any studies to raise  
3 questions that were not even anticipated when it was  
4 approved.

5           If -- and in particular for the macro-ingredients  
6 where we are into new areas of safety evaluation, we will  
7 often work with the companies to determine whether they can  
8 and will be able to do post-market surveillance and will  
9 monitor the use of the additive in the public in a very  
10 conscious and explicit way. So there is monitoring. There  
11 is kind of assessment of the safety over time of all  
12 additives.

13           If an additive is determined to be unsafe at some  
14 point in time, then there is a procedure for getting it off  
15 the market. Anybody can file a petition that says here is  
16 the safety data. This additive is clearly unsafe. The  
17 Agency should pull it off the market. We get petitions like  
18 that.

19           It has to meet all the standards, of course. And  
20 you have -- the scientific basis for that decision has to be  
21 solid. But we would entertain a petition for that. And  
22 there is a regulatory and legal process then for removing an  
23 additive. We have done it. It doesn't happen very often,  
24 but it has happened.

25           DR. STERNER: Yes, our next speaker to address the

1 assessment of risk with regard to drug residues is Dr. Kevin  
2 Greenlees. He received his doctorate in cardiopulmonary  
3 physiology from Colorado State University in 1983. He  
4 joined the FDA Center for Veterinary Medicine in 1989 and  
5 has been involved in the review of new animal drugs ever  
6 since. He is a diplomat at the American Board of Toxicology  
7 and a member of the toxicology team in the Division of Human  
8 Food Safety. Kevin.

9 **ASSESSMENT OF RISK: DRUG RESIDUES**

10 **Kevin Greenlees, Ph.D.**

11 (Slide.)

12 DR. GREENLEES: I want to start by thanking Dr.  
13 Rulis for laying some very nice groundwork for this talk  
14 which was -- we didn't coordinate this. We really didn't  
15 work ahead of time. And it is just -- he set such a very  
16 nice basis just to make life much easier for me.. :

17 This talk is really to talk about how we evaluate  
18 the risk for the chemical residues ---. It is not going to  
19 address anything towards the purpose of this meeting which  
20 is a risk assessment or the safety of the consumption of a  
21 resistant microorganism.

22 (Slide.)

23 When we are trying to put this in a framework of a  
24 risk assessment type approach, the evaluation of new animal  
25 drugs just like food additives evolved before the current

1 concepts of risk assessment and how you do risk assessments.

2 But I think you will find that the approach really still  
3 fits a lot of the paradigm once you have looked at all of  
4 the boxes you need to fit and all the pieces that need to  
5 fit together.

6 It is going to deal with problem identification,  
7 the identification and characterization of the hazard that  
8 you have concern for, how large is that hazard, what is the  
9 acceptable risk level that you are trying to deal with, what  
10 is the exposure and how are you going to deal with that  
11 exposure. And I am going to admit right up front that I am  
12 going to mix in this both management of the risk and  
13 assessment of the risk because I think that gives you a more  
14 complete picture of how we ---.

15 (Slide.)

16 The problem that we are dealing with is exposure  
17 of the human consumer to an unsafe chemical residue of the  
18 new animal drug. We heard definitions given of what  
19 residues are. And I am going to just briefly tell you that  
20 the residue that we are dealing with is the residue of new  
21 animal drugs, any added substance that is present in or on  
22 the commodity or food primarily as a result of metabolism or  
23 the degradation of the new animal drug.

24 So in other words, it is the drug you administer  
25 and all its by-products. You are going to get more about

1 the definition in the next talk by Dr. Tollefson. I am  
2 going to leave it there, again, emphasizing we are talking  
3 about the chemical residues.

4 (Slide.)

5 When we are talking about what is the hazard, what  
6 is your concern, we need to identify what we actually have  
7 concern for. Is it the active ingredient? Is it the  
8 metabolite in the active ingredient? Is it a second or  
9 third order metabolite? Where does your concern lie?

10 And there are a whole battery of studies which  
11 were alluded to in the previous study -- in the previous  
12 talk on what are those -- how do you go about doing that.  
13 So there are oral toxicity studies which are the standard,  
14 you know, rodent assays, 90-day studies, the development  
15 toxicity study, reproduction toxicity studies and any  
16 special studies that are needed to address the specific  
17 nature of that compound.

18 And for a given compound, you may look at that and  
19 decide we don't need one of those studies, again, because of  
20 the nature of that compound. The -- in addition to  
21 identifying what is it in the residue that we have concern  
22 for, what are its characteristics, we are also assessing  
23 what is the nature of that toxicity. It is developmental  
24 toxicity? Does it cause birth defects? Does it cause  
25 damage to the liver? What kinds of toxicity do we see?

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

We look specifically at the carcinogenic potential of compounds. This is done through assessment of the oral bioassays, the literature and through looking at specific immunogenicity assays. And if necessary, we will go on to make quantifiable assays where we actually are looking for development of cancer in animals.

In recent years, we have started to look at the effects of the drugs residues, the chemical residues in food on the human gut and flora recognizing that it is possible that it may have effects on the gut and flora at lower levels or different levels than you might see in systemic toxicology where you have effects, again, on one of the organ systems of the body indirectly.

And also part of the same characterization process is the residue studies that are required. And I listed here the --- metabolism study because that is probably the big gun is usually where you typically will do a carbon-14 study looking at what are all of the residues, where do they go and what are all the pieces that are in the animal.

But it also is based on other studies which will again characterize the nature of the residues that are in the animal. And between those studies, then you can get a handle on where you have your level of concern and what concern that would be.

1 (Slide.)

2 When you have completed that process, you then  
3 have to go through each of those studies, evaluate what that  
4 information is. And as was again talked about previously,  
5 our typical approach is to try and establish a dose to the  
6 animal in the animal studies that would have a no observable  
7 effect level.

8 In some cases, you may actually have an effect.  
9 And that would be a --- effect level. There are also other  
10 approaches such as the benchmark dose which allows you  
11 instead of just saying, well, what dose do you not see an  
12 effect, it allows you to use the dose response relationship  
13 from those doses we see an effect and calculate back to a --  
14 the level which is comparable to a low effect level.

15 These in turn allow us to calculate or determine  
16 acceptable daily intake. And there are other end points  
17 such as the reference dose or safe concentration which are  
18 also -- again, these just come to say how much are we going  
19 to allow in the diet. That's what these numbers mean.

20 (Slide.)

21 There is some difference to how you deal with a  
22 carcinogen than a non-carcinogen. For a non-carcinogen, we  
23 -- as I mentioned, we go through the sub-chronic toxicity  
24 study, reproduction, development toxicity. It goes through  
25 safety of gut flora. You evaluate all of those studies,

1 establish the NOEL and you eventually come up with an  
2 acceptable daily intake.

3           If a compound is thought to be potentially  
4 carcinogenic, then we also have to evaluate its potential to  
5 cause cancer in rodent bioassays. We also make look at some  
6 alternative assays. But these are assays the studies insist  
7 we design to show does it cause cancer and can we establish  
8 a dose response relationship to that cancer.

9           If the answer is, yes, it does cause cancer and  
10 you can then still calculate a dose relationship, then our  
11 approach is to determine a one in a million risk level and  
12 test --- using a linear low dose extrapolation. We  
13 calculate what is the equivalent of acceptable daily intake  
14 and the equivalent of safe concentration. So it just builds  
15 on what you do for a non-carcinogen.

16           (Slide.)

17           The way to use that is you have to have some  
18 standard. The standard is the same as that for food  
19 additives, that it is the reasonable certainty of no harm.  
20 For carcinogens, this is the upper bound of the dose  
21 resulting in a one in a million risk level -- the dose --  
22 this can be interpreted as saying the safety of no harm is  
23 that dose which will have a risk of one in a million of  
24 causing cancer in the rodent that you studied it in.

25           And the reasonable certainty is established by

1 having the upper bound, the variability accounted for in  
2 that calculation.

3           For non-carcinogens, it is based on the acceptable  
4 daily intake which uses safety factors as we talked about  
5 before and to calculate a no effect level.

6           Unlike food additives where they have an estimated  
7 exposure daily intake or an estimated exposure, we assume  
8 that all the animals are medicated with the drug. So if  
9 this is a drug intended for dairy cattle, we assume all  
10 dairy cattle are medicated. We then assume that all of the  
11 edible tissues are at the maximum allowable concentration,  
12 the tolerance concentration.

13           We then also -- and this is not on the slide. We  
14 also then assume that people are going to consume a specific  
15 quantity of that daily. So for muscle, it is 300 grams.  
16 For liver, it is 100 grams and so on. The latter -- the  
17 consumption factors are based on data, based on --- surveys.

18           The other assumptions are just that, they are  
19 assumptions. But they are very conservative assumptions.

20           (Slide.)

21           When you have all that information, we then --  
22 because we are working to a standard to say we are going to  
23 have to meet that end goal, we then use other studies to  
24 help us get there. So we look at the drug metabolism  
25 studies to say, okay, we are going to want to measure this

1 compound. So you have to -- and we did all of our  
2 toxicology based on all of the residues.

3           We can't measure all of the residues. You've got  
4 to have something you can actually get a handle on. So we  
5 establish a marker residue which is something that you can  
6 actually measure by an assay and say what is the  
7 relationship of that marker residue to the total residue.  
8 And then you actually develop the assay to go with that so  
9 that you can go and measure how much of that compound is  
10 actually in the edible tissues. And note how that refers to  
11 all the edible tissues.

12           We establish a regulatory tolerance which is the  
13 safe concentration which was calculated in the acceptable  
14 daily intake as is all the residues. The tolerance is what  
15 you can actually measure of that safe concentration. And  
16 you establish a ratio to that. So from the tolerance, you  
17 can take a direct line back to say what would be the safe  
18 concentration. And then you know whether you are or are not  
19 within the acceptable daily intake ---.

20           We calculate a withdrawal period based on  
21 withdrawal studies. So we have already established how much  
22 is allowed in the diet. If we say, okay, how long do you  
23 have to take the animal off the drug and allow the drug to  
24 deplete until you have actually reached that level. And,  
25 again, there are conservatisms in here so that we are

1 confident that not only do you have a safe concentration in  
2 the diet and you calculate the safe amount, but you actually  
3 are confident that the animal population will have reached  
4 that dose, that concentration in the animal tissues.

5           And there are also other mitigating or management  
6 factors. And this is all dealing with risk management. But  
7 you notice it is also data analysis at the same time. Where  
8 you might have restrictions on the label, there certainly  
9 are indications on the label and instructions on the label  
10 all of which will assure the commodity is the drug product  
11 that is used appropriately.

12           And there is a post-market surveillance and  
13 compliance, again, to be sure that the compound is used  
14 appropriately and that they are following the label.

15           What I did not talk about earlier in the talk, but  
16 it takes place throughout the entire process, is the  
17 communication process between the Food and Drug  
18 Administration and the drug sponsor so that there is  
19 interaction throughout this entire process to ensure that we  
20 have got the best information and are really on board with  
21 what --- the compound and what would be a safe criteria for  
22 approval.

23           Once a product is approved, then it turns to  
24 external communication with the rest of the public. And we  
25 are dealing with -- we have the label information. You have

1 freedom of information summaries which summarize all the  
2 bases for the approval. And then we also have communication  
3 from the drug sponsors on safe and appropriate use of the  
4 compound. Thank you very much. I am going to stop there.

5 DR. STERNER: Questions for Kevin?

6 MR. : Yes, I've got one. I wonder if  
7 you would clarify or elaborate a little bit on the use of  
8 the low observed effect level in calculating the acceptable  
9 daily intake.

10 DR. GREENLEES: For some compounds and in some  
11 circumstances, you may, in fact, have studies which do not  
12 have a no-effect level, but in fact show a low effect level.

13 In other words, you have actually -- the lowest dose  
14 administered has some effect.

15 If you look at the sum total of the data you have,  
16 you may elect -- you may determine that you can appropriate  
17 establish the safety of the compound by simply using a  
18 larger safety factor, a larger uncertainty factor. No  
19 effect levels are to some extent a -- it's a product of  
20 study design.

21 How close did you estimate what would, in fact, be  
22 a no-effect level dose when you designed the study? You may  
23 be right there. You might have missed it. You could -- the  
24 no-effect level could be 100-fold lower than the threshold  
25 which would actually not show an effect.

1           So you have to evaluate the study on the basis of  
2 your sum total of information on that. But in the cases  
3 where low effect levels of used, then an increase is added  
4 to the safety factor, usually using ten-fold instead of  
5 using 1,000-fold that you would otherwise have been 100-fold  
6 safety factor.

7           DR. STERNER: Thank you for staying on time as a  
8 small subtle reminder to our next speaker. Our next speaker  
9 is talking about assessment of risk with regard to  
10 pesticides is Dr. Roy Sjoblad. He has been at the Office of  
11 Pesticide Programs -- see, I am off already -- Pesticide  
12 Programs at the U.S. EPA since 1984.

13           He is currently a Senior Microbiologist in the  
14 Biopesticides and Pollution Prevention Division. He is  
15 involved in a number of policy issues related to safety and  
16 use of genetically engineered microbial and plant  
17 pesticides.

18           And he received his Ph.D. from Pennsylvania State  
19 University. And prior to joining the EPA, he was a faculty  
20 member in the Department of Microbiology at the University  
21 of Maryland. Dr. Sjoblad.

22                           **ASSESSMENT OF RISK: PESTICIDES**

23                                   **Roy Sjoblad, Ph.D.**

24                                   (Slide.)

25           DR. SJOBLAD: Today I am going to try to give you

1 some fundamental concepts of how risk assessment and risk  
2 management is applied at the Office of Pesticide Programs to  
3 pesticides and focus on gentamicin as a specific example of  
4 this process and how it normally might function in some of  
5 the unique issues that are brought to bear when gentamicin  
6 came in.

7           Please, microbiologists out there, ignore the  
8 capital A in amylovora. I know that just drives people  
9 crazy if you are a microbiologist. But I a non-  
10 microbiologist did the overhead. So forgive me.

11           I think that we all know that gentamicin is a  
12 glycoside antibiotic, very important. The World Health  
13 Organization considers it one of the 15 or so essential  
14 drugs. So I won't belabor that point.

15           In this short talk, we are going to be condensing  
16 a five-year process into a little over ten minutes. And so  
17 I am going to focus on some essential concepts. Basically,  
18 gentamicin came in as a conventional chemical pesticide.  
19 Okay? And the proposal basically let's say for simplicity  
20 was in the aerial spray on apple orchards to control the  
21 gram negative Erwinia amylovora which is an  
22 enterobacteriaceae.

23           The use rate was a very low rate, about six grams  
24 AI per acre if I recall, and up to nine applications for a  
25 growing season. Pretty much if there is a -- whether a

1 model would be used to determine whether Erwinia might be a  
2 problem and, therefore, the spraying schedule started as  
3 sort of a prophylactic treatment.

4           To understand the risk assessment and risk  
5 management process with gentamicin, I think we need to see a  
6 little bit about the structure and the function of the risk  
7 assessment and risk management branches in the Office. And  
8 I have listed six of the ten divisions are shown.

9           (Slide.)

10           Starting in the upper left, the Environmental Fate  
11 and Effects Division is a risk assessment division. They  
12 review data that the registrant submits on nontarget  
13 organisms, birds, fish, honey bee. They also look at data  
14 that are submitted, studies that are submitted on the fate  
15 of the particular active ingredient or pesticide in the  
16 environment.

17           Going down the Health Effects Division, they  
18 reviewed data that are submitted on mammalian toxicology.  
19 They also review product chemistry data, mainly the  
20 impurities in the formulation and also exposure data.

21           The Antimicrobials Division is a risk assessment  
22 and risk management division combined in one division. They  
23 basically perform the risk characterization of chemical  
24 disinfections with public health uses. And almost all of  
25 their products do not create that microbial resistance as

1 would a standard clinical drug or veterinary drug wouldn't.

2 And Dr. Nulent here who is doing the overheads can  
3 answer any questions you might have on that particular newly  
4 formed division.

5 I am in the Biopesticides and Pollution Prevention  
6 Division. It is a risk assessment and risk management  
7 division. We handle the microbial pesticides whether they  
8 are genetically engineered or not, --- plant pesticides and  
9 biochemical pesticides. And biochemical pesticides are  
10 naturally occurring materials that control the target pests  
11 by a nontoxic mode of action. And these would be things  
12 like phermones for instance.

13 Going down here, the Registration Division is a  
14 risk management division. And they -- all the information  
15 first comes into them for distribution to the relevant  
16 divisions for conventional chemical pesticides. Okay. If I  
17 could have the next overhead.

18 (Slide.)

19 I am just going to focus a little bit on the types  
20 of information that the Health Effects Division reviewers  
21 would be reviewing and summarizing and characterizing just  
22 as the previous speaker pretty much said. So that was --  
23 you could say this data would be used, too, in a similar  
24 fashion.

25 Notice we have a battery of acute, sub-chronic

1 studies, chronic studies in a number of different types of  
2 animal species. I don't want to focus -- I don't have time  
3 to focus on the specifics. I just want to get some concepts  
4 across, that the registrant is responsible for having these  
5 studies conducted. And they are done under GLP.

6           The registrants can request waivers based on  
7 scientific rationale. And the Agency will consider those  
8 requests for waivers. And, again, the data are used to  
9 identify hazard to applicators, workers, pregnant women,  
10 children. Dietary risks are evaluated in much the way that  
11 we heard previously.

12           Now, this is the type of information that was sent  
13 in for gentamicin, to support the registration of gentamicin  
14 in pome fruit orchards. Now, if I could go back to the  
15 previous overhead.

16           (Slide.)

17           The information when gentamicin came into the  
18 registration division, they take the data packages and  
19 distribute the relevant studies to the Health Effects  
20 Division or the Environmental Fate and Effects Division.  
21 And those go out for review. The reviewers then will  
22 summarize the data and the risk assessment will be done in  
23 the divisions. And then that is sent back to the  
24 Registration Division.

25           Gentamicin came in in about 1994, went into the

1 Health Effects Division for review. And a toxicologist with  
2 microbiology experience, Roger Gardener, in the Health  
3 Effects Division was getting -- got this information under  
4 the secondary review process that goes on and had some  
5 questions about the potential for antibiotic resistance. He  
6 had not even considered this gentamicin as going through the  
7 standard process as a conventional chemical.

8 He happened to -- he just called me in the  
9 Biopesticides Division. And I got together with John Cowen  
10 and we went over and talked to Roger Gardener. And the next  
11 overhead will sort of summarize the events that occurred  
12 subsequent to this.

13 John Cowen and I and Roger basically drafted a  
14 memorandum advising HED on some of the uncertain  
15 nontraditional hazard identification issues related to  
16 gentamicin. Now, I think it should be clear that we had no  
17 established process to address these unique issues of  
18 potential risk from pesticidal use in the environment of  
19 clinically useful antibiotics.

20 (Slide.)

21 So we did consider that antibiotic resistance  
22 development and its maintenance and its transfer were  
23 potential hazard components of the risk assessment process.

24 We concluded from all of the available information that the  
25 proposed aerial spray and orchards will select for

1 gentamicin-resistant bacteria. And there was a strong  
2 possibility that the gentamicin resistance trait would be  
3 transferred to clinically important isolates. And the next  
4 overhead.

5 (Slide.)

6 This event could render gentamicin less effective  
7 or ineffective in the clinical setting. Now, right around  
8 this time, the Registration Division had gotten together --  
9 had published in the Federal Register notice a proposed  
10 tolerance of 0.1 ppm of gentamicin in apples.

11 And this caused organizations like the American  
12 Society for Microbiology, Centers for Disease Control and  
13 the FDA Center for Drug Evaluation and Research to respond  
14 by showing their concerns about the potential for risk from  
15 use of gentamicin in the environment, okay, and the similar  
16 concerns as to the ones that the OPP staff generated were  
17 expressed by these agencies. And some of the key people are  
18 in the audience that were involved in some of these letters  
19 and also in an inter-agency panel that met, comprised of  
20 people from EPA, CDC, FDA and USDA.

21 So we concluded, too, with respect to, say, risk  
22 mitigation. That became the issue, you know, the subsequent  
23 issue. Can you mitigate this risk? We believed that there  
24 was really no amount of reasonable study, either  
25 economically or scientifically, amenable type research, that

1 the registrant could perform to provide reliable and  
2 predictive information to the EPA which would alleviate  
3 concerns for the risk of loss of gentamicin as an effective  
4 clinical antibiotic.

5           Okay. Under FIFRA, the Federal Insecticide,  
6 Fungicide and Rodenticide Act, it is really the  
7 responsibility of the pesticide registrant to provide the  
8 information and data to address identified hazards, even if  
9 they are beyond those that come under the traditional  
10 toxicology data setting.

11           I think to conclude, the process really thus far  
12 has been a useful model whereby there has been inter-agency  
13 communication which supported a risk management decision  
14 based on the best available scientific information and data.

15           As a result of this process, the registrant has -- did  
16 withdraw its petition for the proposed use of gentamicin as  
17 a pesticide.

18           I want to say that the inter-agency panel when it  
19 did convene also was asked about exposure issues and  
20 mitigation of exposure by different types of processes that  
21 maybe an antibiotic like gentamicin could be used. And it  
22 was certainly not concluded that there could be some level  
23 of exposure which would not trigger these resistance  
24 development, maintenance and subsequent transfer. So that  
25 concludes my presentation.

1 (Applause.)

2 DR. STERNER: I can see our speaker are adhering  
3 to the threat that was made earlier at the start. We are  
4 moving along nicely. There is time for questions. Okay.  
5 Thank you very much.

6 Dr. Dick Whiting will go ahead and address our --  
7 be our next speaker talking about microbiological risks. He  
8 has an active research program modeling the growth and  
9 survival of food-borne microbial pathogens. This ranges  
10 from formulating new mathematical models to composing a  
11 personal computer software program to make the models  
12 easily. Now, there is an oxymoron, isn't it, user-friendly  
13 software, and widely available.

14 The concept supporting the linkage of predictive  
15 modeling to a HACCP program through microbial risk  
16 assessment are currently being developed. Previous research  
17 has included the quality of microbiology of low salt meat  
18 products and the role of meat biochemistry in determining  
19 quality.

20 Dr. Whiting received his BS degree from the  
21 University of Wisconsin, his master's of science from the  
22 University of British Columbia and his Ph.D. from Oregon  
23 State University, all in food science. He conducted  
24 research with the USDA Agricultural Research Service from  
25 1977 to 1998 and joined FDA CFSAN in 1998.

1 He has over 85 publications and 90 presentations,  
2 and was a member of the Microbial Food Safety Team that  
3 received the USDA Departmental Awards for developing  
4 pathogen models and the FSIS team that conducted the  
5 Salmonella enteritidis in eggs risk assessment.

6 Current activities include the Listeria  
7 monocytogenes risk assessment and the CODACS Committee on  
8 Food Hygiene. Dr. Whiting.

9 **ASSESSMENT OF RISK: MICROBIOLOGICAL RISKS**

10 **Dick Whiting, Ph.D.**

11 DR. WHITING: Thank you very much for the  
12 introduction there, Keith. And it is my pleasure to be here  
13 and talk a little bit about microbial risk assessment. And  
14 microbial risk assessments are really a new area. I think  
15 there has probably been less than a dozen, say, full  
16 microbial risk assessments that have been done anywhere in  
17 the world at this point.

18 Within the U.S. Government, we did the Salmonella  
19 enteritidis in eggs risk assessment a year ago. That was  
20 the first one. Being presented today downtown, the USDA is  
21 talking about their E. coli 0157:H7 risk assessment in  
22 ground beef. Within the Food and Drug Administration, we  
23 have a risk assessment on Listeria monocytogenes and one on  
24 Vibrio parahaemolyticus ongoing right now. So this is a new  
25 area and we are sort of inventing microbial risk assessment

1 as we go.

2 I see the risk assessment as really a pre-  
3 regulatory process. In other words, we see the risk  
4 assessment as basically an information-gathering and  
5 evaluation process. And in that, we follow the  
6 recommendations that have come out that risk assessment and  
7 risk management should be kept sort of separate.

8 We see the microbial risk assessment of trying to  
9 follow the paradigm that has been developed by the chemical  
10 people, that is risk assessment, risk management, risk  
11 communication. And within the risk assessment area, we talk  
12 about hazard identification, exposure assessment, dose  
13 response and risk characterization.

14 And in short, you know, the risk assessment  
15 determines, you know, what can happen, how likely is it to  
16 happen, what are the consequences. Or you can say the risk  
17 assessment determines what do we know and how certain are we  
18 of what we know.

19 Now, when it comes to trying to do a  
20 microbiological risk assessment, we have had some real  
21 problems doing this. It is a new area. The data gaps are  
22 quite large in the field of microbiology. I suspect that is  
23 because most people who have had an inclination for science  
24 decided to go into microbiology because they didn't like  
25 math and statistics. So this is now coming around to haunt

1 us when we try to do risk assessments.

2           You know, microbiologists just don't develop  
3 models when they publish papers. They don't characterize  
4 the variations and the standard deviations. And they love  
5 to do that presence-absence type of analysis which, you  
6 know, just doesn't get us too far when it comes to risk  
7 assessments.

8           But we do have despite saying we are following the  
9 paradigms of -- that have been pioneered by the chemical  
10 people, we do see some real differences in microbial risk  
11 assessment versus some of the others. In microbiology, we  
12 are generally concerned about acute situations and single  
13 doses. The statistics say you are likely to get a food-  
14 borne illness about once every ten years. So, you know, the  
15 chances of having two in a day are rather low.

16           And we also think about acute illness. But even  
17 as I say this, I realize there are some exceptions. We are  
18 beginning to talk about long-term sequelae to some of the  
19 microorganisms, Guillain Barre syndrome, HUS from E. coli or  
20 reactive arthritis from Salmonella.

21           And we also realize that perhaps chronic exposure  
22 to low levels of certain microbial pathogens may affect your  
23 susceptibility to when you are exposed to a large dose or is  
24 there maybe some sort of immune type response going on here.  
25    But at this point, we just really don't know enough to do

1 much in terms of risk assessment or modeling of this.

2           One big difference with microorganisms is we can't  
3 just keep diluting them. Eventually, we get to one  
4 bacteria. And at that point, we then have to start talking  
5 about probabilities of occurrence. In other words, if you  
6 have one big tank, we can talk about one bacteria surviving  
7 a pasteurization process in 40,000 gallons perhaps.

8           But then you begin to put it into an individual  
9 carton for retail sale. And we eventually get down to one  
10 bacteria which is now in, say, one package out of 100 or one  
11 package out of 1,000. So we have to now switch from sort of  
12 a quantitative level to more of a probabilistic type of  
13 hazard assessment.

14           But perhaps the biggest difference with  
15 microbiology is bacteria can grow. And if there is an abuse  
16 period with a food, it is not unreasonable to see 100,000-  
17 fold growth. Certainly, a 1,000-fold growth is very likely.

18           So -- and also, we can see a similar sort of decrease. If  
19 we do a pasteurization step, we can see a million-fold or  
20 more decrease in the levels of pathogens within a few  
21 seconds.

22           So what we then have is trying to put together a  
23 food process model we call it or a process risk assessment  
24 in which we take the food from the raw materials and go  
25 through the various processing steps including

1 pasteurization, but also storage, transportation, you know,  
2 all the way to the consumer and try to model the changes in  
3 bacterial numbers as they go up and down through this whole  
4 process.

5           And this then becomes a very major part just in  
6 terms of size and complexity of the microbial risk  
7 assessment. But we are I think very close now to being able  
8 to do a process risk assessment like this. And despite  
9 Keith's comment on our pathogen modeling program, I would  
10 encourage you all to take a look at it. I really do think  
11 it is quite user-friendly.

12           And, you know, I think we are there to where this  
13 type of risk assessment can be done. And we would really  
14 like to see a PC in a program like this on every food  
15 microbiologist's desk, particularly in industry, so that  
16 people in the food industry can look at their particular  
17 food processes and do this type of calculation.

18           And then that kind of becomes the underpinning for  
19 a HACCP Program. Now, I don't know if you people are  
20 familiar with food industry and the HACCP, Hazard Analysis  
21 Critical Control Point Program. But I see the current  
22 efforts in this area are basically sort of qualitative.

23           That is, when they develop a HACCP, they look at each  
24 step separately. You look at the raw materials and you put  
25 into play certain standards and reactions to things out of

1 specs. for that step. And then you look at the  
2 pasteurization step. And then you look at the storage step.

3 But now that we can do this process risk  
4 assessment and actually model the whole flow from raw  
5 materials through, you can put all of this together. And we  
6 can compare one step in the process versus another. And  
7 maybe two processes are slightly different. But we can then  
8 evaluate at the end and say are they equivalent.

9 One step might rely on -- or one process might  
10 rely on good quality raw ingredients where another process  
11 might have a pasteurization step. I think about, say, fresh  
12 orange juice right now. Some people do not want to  
13 pasteurize orange juice. Can we evaluate one process that  
14 uses it versus one process that does not? And I think we  
15 are beginning to be able to do that.

16 This then leads us to a calculation of the number  
17 of pathogens that might be in the food at the time of  
18 consumption. So we have, say, 2,300 Listeria in a serving.

19 So what? Is this a hazard or is this not? And this then  
20 leads us into the dose response section of the risk  
21 assessment. And I would say this is probably one of the  
22 weaker links at the moment. But, you know, we do have some  
23 idea, certainly compared to some of the chemical hazards  
24 like radon which they are trying to argue over what is a  
25 serious level.

1           We do know for E. coli 0157:H7 that from ten to  
2 100 organisms is enough to be a serious threat to a child.  
3 We do know a little bit about food matrix and that that  
4 affects the effective dose. We know that there is a lot of  
5 variation between one strain of a pathogen versus another.  
6 And we also know something about the wide variation in human  
7 susceptibility to these different bacteria.

8           I would say these food-borne bacteria are  
9 generally opportunistic organisms. That is, they like to  
10 strike children, elderly, various immunocompromised people  
11 and pregnant women. So we are making progress in research  
12 in this area. And I think most of the gains will probably  
13 come from improved epidemiological investigations. You  
14 can't really run experiments particularly on the susceptible  
15 population that we are most interested in. But with careful  
16 investigations of outbreaks that do occur, we can get much  
17 better information.

18           An example of this is there was an outbreak of  
19 Listeria in Finland last spring that occurred in a hospital  
20 with severely immunocompromised patients. It was due to  
21 relatively low levels of Listeria in the butter. But we can  
22 analyze the butter. We know how many organisms are there.  
23 We know how many people consumed the butter. We know how  
24 many got sick. We can really begin to characterize the dose  
25 response for this one outbreak.

1           So we are at the point now we can calculate the  
2 amount of bacteria in the food. And then we can look at the  
3 dose response. And that then leads us to the question then  
4 of what kind of standards are we now going to set on this.  
5 How do we set the standards? Who sets the standards? And  
6 what sort of process do we have?

7           And I would say for food microbiology right now,  
8 we really have not gotten to the point of really addressing  
9 these questions yet. You know, we have talked about food, I  
10 think both from the public and certainly the government  
11 side, as saying your food is safe. And, you know, as a risk  
12 assessor, that word, "safe", is really one I don't like. I  
13 mean, safety, as your previous speaker said, is an absence  
14 of risk, an absence of something.

15           And, you know, to say one food is safer than  
16 another, I mean, a food is safe or not safe. I mean, in a  
17 certain sense, the word, "safer", is not really a logical  
18 term. What we prefer in risk assessment is to talk about a  
19 hazard which could be Salmonella. That is something  
20 specific. And then we can talk about the risk of that  
21 hazard, so many Salmonella per gram or a certain probability  
22 of illness from consuming a certain number. So we can talk  
23 about the hazard and the risk.

24           But what is an acceptable or tolerable risk from  
25 the various food-borne pathogens is a question that we

1 really have not begun to face yet. And I don't pretend to  
2 come up here and say I really know the answers to what that  
3 should be. I think there is a consensus we want to do  
4 better from where we currently are.

5           And perhaps just for discussion, I would throw out  
6 the figure that CDC statistics say that we get a food-borne  
7 illness about once every ten years. There is about 1,000  
8 meals a year. That means your chances of getting illness  
9 from lunch today is about one in 10,000. Now, is that high  
10 or low? This is a decision, something we have to think  
11 about.

12           Should the risk be the same for all of the various  
13 food-borne organisms? Should Salmonella and E.coli be  
14 considered the same? I would say probably not because some  
15 of the organisms have much more severe consequences than  
16 others. E. coli, for instance, causes hemolytic uremic  
17 syndrome. It can cause death and severe kidney failure in  
18 children where Salmonella for the most part just makes  
19 people sick for three days.

20           Should the risk be the same for all foods? Again,  
21 I would say probably not. But nobody has really discussed  
22 this. Should we have the same risk for different  
23 populations, different sub-populations? Should we have the  
24 same standards for children? Should we have the same  
25 standards for nursing homes and other institutions?

1           What sort of choice should we as consumers have?  
2 If I like my eggs sunny-side up, if I happen to like raw  
3 oysters, should I have the choice to consume those foods or  
4 not? What is the acceptable level of risk? Should it be  
5 based on current standard practice? Is that a good place to  
6 start? Perhaps it is.

7           But then again, we have found in recent years that  
8 many of our traditional foods are not quite as safe as we  
9 thought they were. We thought eggs were safe until a few  
10 years ago. We have seen problems with fermented meat  
11 products. We have seen problems now with fresh orange  
12 juice. Foods that we had considered safe, we are suddenly  
13 finding there are some problems.

14           Should we base our level of standard on what is  
15 considered the best feasible technology? But then, of  
16 course, that brings the cost factor into what is feasible  
17 technology. For example, on eggs, there is about one egg in  
18 every 20,000 which is contaminated with Salmonella  
19 enteritidis.

20           There is a process that you can pasteurize in-  
21 shell, whole eggs with a hot water treatment. And that will  
22 inactivate any Salmonella and it costs about 24 cents a  
23 dozen. Should we mandate this for protection or not?

24           I really don't know the answers to any of these.  
25 But I think we must begin to, you know, face these questions

1 and begin to discuss them. And the answers to these are  
2 really a public and societal or political decision. This is  
3 not a scientific decision.

4 So, therefore, in conclusion, I would say what I  
5 am most certain of, that there is a lot of communicating  
6 that we have to do over the issues of food microbiology.  
7 Thank you.

8 (Applause.)

9 DR. STERNER: Any questions for Dr. Whiting? Yes.

10 MS. : Dr. Whiting --

11 DR. STERNER: Could you go to the microphone?

12 DR. WHITING: I can't hear you.

13 MS. : Okay.

14 DR. STERNER: We are fixing that.

15 MS. : In the risk assessments that you  
16 mentioned as having been done recently, the E. coli, the  
17 Vibrio, Listeria, the S. e. in eggs, did you take that to  
18 the human health impact like we did in the Campylobacter  
19 risk assessment? In other words, did you use the FoodNet  
20 data from CDC to look at the ill humans and try to associate  
21 that with the dose that you calculated in the product?

22 DR. WHITING: Yes. All four of those have tried  
23 to do that. You can find the Salmonella enteritidis on the  
24 internet if you go into the USDA FSIS, Food Safety and  
25 Inspection Service, and then Office of Public Health and

1 Safety. And it is available there. And it has a series of  
2 modules. And one module is called the Public Health Module.

3 But the Listeria one, trying to determine what we  
4 know about the dose response is one of the major parts of  
5 that risk assessment. So, yes.

6 DR. STERNER: Well, we have talked about food and  
7 now we are up to water, or down to water depending on how  
8 you want to look at it. And I think that the room  
9 temperature is moderating a bit. And my glass is not ice  
10 yet, but there are times where it feels a bit like it.

11 Our next speaker, Dr. Steven Shaub, is a  
12 microbiologist. He received his bachelor's degree from  
13 Washington State University and his Ph.D. from West Texas,  
14 University of Texas at Austin. Excuse me, a Longhorn.

15 And from 1992 to the present, he has been with the  
16 United States Environmental Protection Agency's Office of  
17 Water in the Office of Science and Technology. He is a  
18 Senior Microbiologist there. And he heads up the pathogen  
19 risk assessment methodology development. He supports the  
20 drinking water and recreational water regulation  
21 development. Dr. Shaub.

22 **ASSESSMENT OF RISK: WATER**

23 **Steve Shaub, Ph.D.**

24 (Slide.)

25 DR. SHAUB: Thank you, Dr. Sterner. Well,

1 probably a lot of you are not aware of the fact that EPA  
2 Office of Water actually is considered one of those food  
3 agencies. So we really do have a link to the food. In the  
4 President's Food Safety Initiative, we were one of the  
5 members of the governmental groups that was identified to  
6 help protect that nation's food supplies. Next viewgraph,  
7 please.

8 (Slide.)

9 Because we had a couple of questions that really  
10 needed to be answered for the panel I guess today, I kind of  
11 modified my slides a little bit to talk a little bit about  
12 some of the needs we have for the panel discussion. Within  
13 the EPA, we are actually now required by regulation to use a  
14 risk-based approach to how we actually develop our  
15 regulations to protect the general population.

16 And I would emphasize that generally all of our  
17 regs. are for the general population. But within the new  
18 criteria, we do have to evaluate and consider the risk of  
19 children and other sensitive populations.

20 One of the things that we kind of -- the approach  
21 we are using right now is the fact that if we do have a  
22 sensitive population that would have a significant or severe  
23 or fatal outcome from some chemical or microbial in water,  
24 then we will actually provide special guidance which would  
25 be presented to the people with this problem or their

1 clinicians or whatever so that these people would be  
2 protected.

3           One of the best examples we have the EPA and CDC  
4 have put out guidance to the people who are affected or  
5 impacted by AIDS so that we actually have a boiled water  
6 guidance document out to them so that they can reduce their  
7 risk of cryptosporidiosis which often can have a fatal or  
8 very severe outcome. Next viewgraph.

9           (Slide.)

10           Just a couple of examples. In terms of how we are  
11 using risk as far as our development of our regulations,  
12 first of all, under the Safe Drinking Water Act which was  
13 re-authorized in 1996, again, we are trying to protect the  
14 general population.

15           And one of the things that is probably peculiar is  
16 the fact that we do have a risk number. Actually, we target  
17 one in 10,000 yearly risks to the general population as  
18 being appropriate for drinking water safety. And this is  
19 designated specifically against enteric diseases.

20           The approach that we have used is to establish a  
21 worst case organism. And this is possibly open to some  
22 suspect I guess in terms of our selection. But what we have  
23 done is established these worst case organisms based upon  
24 their probable occurrence in water, their potential to cause  
25 a disease, and their likelihood of getting through a water

1 treatment system and actually then causing an exposure.

2           Classically and even currently, we are still  
3 working with two general worst case organisms. We currently  
4 require a three-log reduction of Giardia from water and a  
5 four-log reduction of enteroviruses -- excuse me -- in the  
6 treatment process to reach this risk target level of one in  
7 10,000 yearly risks.

8           In the future, in fact, what we are working right  
9 now is in a meeting earlier this week with our EPA's Federal  
10 Advisory Committee to look at enhanced surface water  
11 treatment rules which will begin to initiate within the next  
12 couple of years. And we are changing from Giardia to  
13 Cryptosporidium as the worst case target because we know  
14 that the significance of this as far as getting through  
15 treatment is much greater than Giardia.

16           What we are trying to do is look at whether or not  
17 we need to target the removal requirements on the water shed  
18 concentration approach. In other words, do we really stage  
19 or increase our level of treatment based upon the likely  
20 occurrence of this organism in various types of water  
21 scenarios on a water shed basis.

22           So you may have some systems that may only have to  
23 remove two orders of magnitude of Cryptosporidium based upon  
24 a very low occurrence of the water. Others, you may have a  
25 very significant occurrence concentration which you may have

1 to remove four or five logs of Cryptosporidium.

2           It is a very big concern of the industry and the  
3 water treatment industry because the potential cost  
4 associated with a five-log removal are very great. If  
5 everybody had to do that, basically, the additional cost to  
6 the water industry would be in the billions of dollars to  
7 implement those kinds of protection criteria.

8           One of the things that is unique about our --  
9 having surface water treatment rules is that we don't have a  
10 maximum contaminant level like we do for most of the  
11 chemicals. And the main reason for that is that we can't  
12 really measure accurately the microorganisms that we are  
13 concerned about.

14           In other words, the enteroviruses and Giardia or  
15 Cryptosporidium, we just don't have adequate methods. So we  
16 have to use a treatment rule. So we do designate that a  
17 particular system has to have in place a capability to  
18 remove these levels of organisms which we think may occur in  
19 the source water.

20           One of the things also which is the fact that the  
21 states actually do the monitoring of the compliance of this.

22           And then they report to the Federal Government or the EPA  
23 as to how well their various utilities are performing.

24           One of the things which is also associated with  
25 the development of the enhanced surface water treatment rule

1 is the fact that when we are developing this, we actually  
2 have a risk-risk kind of a trade off which we are looking  
3 at.

4           So our risk assessment approach is more convoluted  
5 because when we protect against Cryptosporidium, we are also  
6 going to have to make sure that we are not in that process  
7 of treating introducing large amounts of disinfectants or  
8 disinfectant by-products that could be toxic to our  
9 consuming public.

10           So basically it is a balancing act. We want to  
11 make sure that we have a process that is going to get rid of  
12 the organisms, at the same time not to give a toxic load of  
13 disinfection by-products which are potentially carcinogenic  
14 to the population. Next, please.

15           (Slide.)

16           As an example under the Clean Water Act, this is  
17 the other side of the EPA's water story. This is basically  
18 making sure that waters are swimmable, fishable and  
19 drinkable. And just as an example for how we are using the  
20 risk approach there, for recreational water criteria, we do  
21 have the risk-based approach. And this is against acute  
22 gastrointestinal disease.

23           And, basically, what has occurred in this is a  
24 number of indicators were actually tested during the late  
25 '70s and early '80s against various types of disease out-

1 points, and particularly the acute gastrointestinal disease  
2 in actual epidemiology studies which they showed the  
3 relationship of the indicator organism levels versus the  
4 particular level of disease outbreaks which are actually  
5 occurring.

6           So, basically, this, you know, gives us our risk-  
7 based approach. And what we have come up with is the fact  
8 that we do allow 19 acute gastrointestinal illnesses per  
9 1,000 swimmers per exposure a day for green waters and eight  
10 for fresh waters. So we really do have here, again, a -- we  
11 really do allow a particular exposure level and a particular  
12 illness level that can be associated with that particular  
13 activity.

14           The reason we don't have as stringent requirements  
15 is the fact this is a voluntary activity. People don't have  
16 to go out and swim in our nation's waters. Obviously, we  
17 don't like to see this kind of a scenario going on. But at  
18 least the public historically has accepted this as being  
19 appropriate for this particular kind of level and they  
20 accept this amount of illness.

21           One of the things that is of concern to us right  
22 now and we are trying to work on this is the fact that the  
23 current criteria are not protective against upper  
24 respiratory tract, skin, eye, ear, nose, throat, severe  
25 gastrointestinal diseases. They are only really known from

1 a risk basis to be protective against acute gastrointestinal  
2 disease.

3           The way this approach works is that we do  
4 establish the criteria. And then the states adopt these.  
5 And they are actually the ones that are responsible for  
6 monitoring and ensuring that their beaches are safe. Next,  
7 please.

8           (Slide.)

9           Okay. Turning to our current approach, as Dick  
10 Whiting mentioned to you, risk assessment really is a new  
11 science for microbials. And we have been working on this  
12 for a number of years. It's rather a slow pace, but we are  
13 starting to generate more speed now, especially now that we  
14 do have to have risk-based regulations.

15           Through a co-op with the International Life  
16 Sciences Institute, we have been developing a framework for  
17 how we should be dealing with pathogens in various types of  
18 water media. And actually, if you want to get the full  
19 detail because I am not going to be able to really get into  
20 it in much detail today, if you look at least a reasonable  
21 summary of where we are, look in Risk Analysis Sub-volume  
22 16.

23           And one of the things this is -- it does fully  
24 consider the unique aspects of microbial pathogen exposures  
25 and human health effects. We recognize -- at least we think

1 that the National Academy of Science-NRC model for chemical  
2 risk assessment really isn't appropriate, I guess in  
3 conflict with -- I guess we feel that really we need to  
4 address some of the more unique aspects of microbials and  
5 the host populations and the overall association of health  
6 effects and pathogen exposure.

7           And one of the things that did come out of this is  
8 that we pretty much followed the framework for the EPA's  
9 ecological risk assessment process which has actually gone  
10 through the EPA's risk assessment forum now and actually is  
11 considered a full-blown risk assessment protocol. Next  
12 slide.

13           (Slide.)

14           Just to show you the general approach that is  
15 being used for the framework, it is really no different than  
16 anything else that you have probably seen as far as doing  
17 the risk assessment, as far as the general approach. We  
18 have the problem formulation which the concepts, focus and  
19 the breadth of magnitude and the target end points are  
20 developed.

21           Then we go through the analysis phase which  
22 actually is characterizing the exposure. In other words,  
23 where is the organisms out there and then what are their  
24 health effects. And going through risk characterization  
25 after that.

1           One of the things you will notice, we have these  
2 arrows. We think this is a highly iterative process. We  
3 think that all the way along through the risk assessment,  
4 that they really need to look back and see how you are  
5 addressing the problems, whether or not you are getting  
6 plausible answers and whether or not they are reasonable,  
7 going to other ways you develop risk assessments for other  
8 types of regulatory procedures.

9           One of the things, EPA actually has a formalized  
10 approach now to how we are doing risk characterization. It  
11 is in the draft right now, but we expect this to be  
12 completed probably early next year. And this lays out all  
13 of the criteria of what needs to be done when you are doing  
14 a risk characterization.

15           (Slide.)

16           The -- to get down into the assessment end of  
17 things which is basically where I am going to focus the rest  
18 of the day, you have the characterization and exposure in  
19 which you are characterizing a pathogen, what makes it a  
20 significant concern from the standpoint of what kind of  
21 infection is it likely to cause and how is it going to be  
22 out there in the environment as to getting out into the  
23 exposure scenario and looking at the human exposure to that  
24 pathogen, and then coming up with the exposure profile where  
25 you have all the uncertainties, assumptions and various

1 models and things like that which are used to actually  
2 establish that final analysis of the total exposure and the  
3 characterization of the human health effects and the host  
4 characterization, looking at the dose response analysis and  
5 the health effect.

6           Again, one of our weakest points we have in micro.  
7 right now is the fact that we don't have a lot of good dose  
8 response data to complete our risk assessment. Anyway,  
9 coming up with the host-pathogen profile again, all your  
10 assumptions, uncertainties, models, things like that which  
11 are utilized to then feed both of these into the risk  
12 characterization. Next, please.

13           (Slide.)

14           Ilsie was kind enough to prepare -- I don't know  
15 if we can get it all in there now, yes, as you can plainly  
16 see. I just wanted to bring up -- and I realize this is too  
17 busy and too small to see. But one of the things --  
18 actually, this is the water risk assessment framework which  
19 we have now versus the ecological framework versus the old  
20 NASA chemical risk assessment approach, CODEX approach and  
21 then -- I'm not sure what this one is. Maybe somebody else  
22 here probably knows.

23           But anyway, as you can see, if you look at all the  
24 various phases, I mean, really they are all pretty similar.  
25 I mean, there are little nuances in terms of how they are

1 implied. But really, the end product really pretty much is  
2 almost always the same. Next, please.

3 (Slide.)

4 Well, anyway, I am not going to have really a  
5 chance to really go through these in any depth. But for the  
6 analysis phase, I might just -- what I am trying to do is be  
7 consistent with where CODEX is going as far as their  
8 classical definition. So I will just -- I won't have time  
9 really to go through it anymore.

10 But just as far as pathogen characterization to  
11 evaluate the characteristics of the pathogen, or in our  
12 case, surrogates, we oftentimes don't deal in water with the  
13 direct pathogen. We are typically using surrogates such as  
14 E. coli or something like that to really determine the  
15 effect of the ability for the transmission to have caused  
16 disease in the host and some of the criteria and things  
17 which are incorporated into that. Next, please.

18 (Slide.)

19 And just continuing on with other things that are  
20 part of that exposure scenario. One of the things which we  
21 are really concerned about is the strain differences,  
22 especially with Cryptosporidium right now. We are --  
23 obviously, there has been three studies now done on  
24 Cryptosporidium and oral dose response. That is EPA  
25 sponsored.

1           We have almost a 50-fold difference in the human  
2 dose response associated with that. So -- and those are  
3 just the animal strains. Those aren't even the human  
4 strains. Nobody has done the dose response for those.

5           (Slide.)

6           Moving on to the pathogen and hazard occurrence,  
7 this is the frequency of the appearance of a pathogen or its  
8 relationship to the surrogates in the media of concern.  
9 Some of the things real quickly that I think are really  
10 important to us is that there is a very dynamic situation in  
11 most water supplies.

12           It is not a constant. You have very, very large  
13 orders of magnitude, shifts in what is present in the water  
14 supply which impacts on your treatment efficacy and things  
15 like that. So it is very important from the water  
16 standpoint.

17           (Slide.)

18           One of the other things which, of course, with  
19 water is important is the fact that microbes and certain  
20 types at least of bacteria especially amplify in water.  
21 Others die off. There is persistence of some based upon  
22 various types of water characteristics, things of that  
23 nature.

24           (Slide.)

25           In the exposure analysis, it is to characterize

1 the source and temporal nature of the human exposure to  
2 water-borne pathogens. Obviously, we have got recreational  
3 drinking. We've got sewage, sludge, waste waters, re-use of  
4 water, things of that nature. We assume 100 mls for  
5 swimming-associated exposures. And now we have come up with  
6 a new exposure level for drinking water which is 1.2 liters  
7 rather than the old two.

8 (Slide.)

9 Some of the other things. I won't go through  
10 that. Everybody -- it is just common to every risk  
11 assessment.

12 (Slide.)

13 As far as characterizing the human health effects,  
14 we need to evaluate the ability of the pathogen, again, or  
15 the indicator relationship to cause an adverse health effect  
16 under the prescribed set of conditions we are dealing with  
17 and just some of the tools which we have available to  
18 identify those approaches.

19 (Slide.)

20 The host characterization it to evaluate the  
21 characteristics of the potentially exposed population that  
22 influences susceptibility to a pathogen. And, again, some  
23 of the tools and things which need to be considered. Next,  
24 please.

25 (Slide.)

1           Again, characteristics that influence those  
2 effects. Obviously, all the things that humans do to cause  
3 them to be exposed and the various things which influence  
4 their ability to become infected.

5           (Slide.)

6           The health effects, the clinical manifestations of  
7 disease associated with specific pathogens, we have to  
8 consider both the acute gastrointestinal disease, chronic  
9 disease, and diseases that might impact on other organs of  
10 the body, especially through various types of sequelae.  
11 Next, please.

12           (Slide.)

13           Dose response analysis, to characterize the  
14 relationship between pathogen dose, infectivity and the  
15 manifestation and the magnitude of the health effects in  
16 that population. We have the various tools, epidemiology  
17 studies, feeding studies and animal studies. We have some  
18 real concerns for most animal studies whether or not they  
19 can really prescribe the human condition.

20           I know I am involved in a work group of FDA to  
21 look at this. I think there is a lot of problems with using  
22 animal models. Next, please. That was -- oh, okay. Gee.

23           (Applause.)

24           DR. STERNER: My apologies. I forget to start the  
25 timer. But it was about four minutes that elapsed. Are

1 there questions for Dr. Shaub?

2 MR. : Yes, I've got one, Keith.

3 DR. STERNER: Thanks.

4 MR. : I wonder if you have any occasion  
5 to apply any standard for introduction of a pathogen into  
6 water by any kind of industrial or community activity. I am  
7 just thinking here of an analogy in the drug situation where  
8 the issue is really kind of creating a different type of  
9 pathogen by some activity. I am just wondering if there is  
10 an analogy in the water area.

11 DR. SHAUB: Well, certainly, we are very concerned  
12 about biotechnology, industrial things. We are very  
13 concerned about emerging pathogens from whether they are  
14 coming from, you know, other countries or whether or not  
15 they are coming from our own modification of our procedures  
16 in terms of bioengineering, modification of genetics, things  
17 like that.

18 We, I think with CDC, are keeping a vigilance for  
19 these kinds of things. And certainly, we have what we call  
20 the contaminant candidate list which we have identified nine  
21 pathogens which we think have emerged or re-emerged which  
22 either because of their health effects or the fact that they  
23 are now being found in the United States in water supplies  
24 and that they have the potential to defeat our current water  
25 treatment distribution systems.

1           We are looking at those. So if we do, we are  
2 going through the process with each one, a risk-based  
3 process to look at the potential to be a problem. If they  
4 are a problem, we will actually establish new regulations  
5 based upon their likely concern on a national basis. Is  
6 that kind of where you were going?

7           MR.           : Just one follow-up, Keith, or --  
8 is -- how about for current pathogens? Let's say, for  
9 example, in industry something is going to get introduced  
10 into water, treated water into the water supply. Do you  
11 sort of exercise a log reduction standard or something of  
12 that sort for viruses or bacteria that are currently  
13 existing?

14           DR. SHAUB: Well, yes, I -- what we try to do  
15 basically is if we know what the general source water  
16 occurrence is, basically our whole scheme is to define the  
17 treatment requirements that would reduce that down to a  
18 level where we would have no more than that one in 10,000  
19 yearly risk of infection.

20           So, basically, the treatment level is going to be  
21 geared to the source water concentration levels. In other  
22 words, we have a  $10^3$  level of source water. And then maybe  
23 we only need to remove maybe two orders of magnitude of that  
24 to maybe be protected. If you have a  $10^5$  level of material  
25 in source water, then you would have to boost your treatment

1 up two orders of magnitude to give that same level of  
2 protection.

3 DR. STERNER: In the years that I've had as a  
4 speaker, my worst nightmare is to wake up far past my  
5 appointed time to go ahead and speak. And I am at a point  
6 as a moderator of embarrassment in that we do not have Mike  
7 Bolger having shown up or nor do I have a bio. Mike, you  
8 wouldn't happen to be in the audience, would you? Seeing no  
9 favorable response, we will move to the next speaker. And I  
10 guess that unfortunately will -- well, fortunately will keep  
11 us well on schedule here and on task.

12 Addressing pathogens on meat will be Kenneth  
13 Petersen. He is a Senior Epidemiologist with the Food  
14 Safety and Inspection Service, FSIS. And he will present  
15 the USDA activities regarding risk assessment. Kenneth.

16 **ASSESSMENT OF RISK: PATHOGENS**

17 **Kenneth Petersen, Ph.D.**

18 DR. PETERSEN: Thank you and good morning. It is  
19 a pleasure to be here to present some of the risk assessment  
20 activities within the Food Safety and Inspection Service.  
21 For those of you who are not familiar with us, basically we  
22 regulate the meat, poultry and egg products industries.

23 So to return to this issue of food safety, because  
24 it is an issue that unites all of us whether we produce  
25 food, regulate its safety, or simply consume it, for all of

1 us, food safety has become increasingly complex.

2 Not only do we have more issues to debate,  
3 technology, irradiation and microbes just to name a few, but  
4 these issues are being debated in public forums as never  
5 before. Just look at the attention paid to food safety by  
6 the media during the past decade.

7 The globalization of food trade has presented new  
8 and difficult challenges in minimizing food-borne diseases.

9 Although the globalization of food trade has made public  
10 debate more contentious, it has not been easy for the public  
11 to separate fact from fiction.

12 So how exactly do we base food safety decisions on  
13 science? In countries worldwide, we need to make these  
14 decisions. We need a structured way of organizing and  
15 analyzing the scientific information that exists, as well as  
16 that to be developed in the future. To support major  
17 policy-making within USDA, we employ a quantitative farm-to-  
18 table approach.

19 Although our regulatory activities primarily  
20 target the post-harvest rather than the on-farm end of the  
21 spectrum, we identify whenever possible the best point or  
22 points in the food production chain in which to control  
23 risks. The scope of our risk assessments and the scientific  
24 data utilized is transparent to all interested parties. We  
25 emphasize a public process.

1           The concept of risk analysis is certainly not  
2 limited to the food safety arena. In fact, the structure is  
3 universal. And its value lies in the fact that it is a  
4 fluid process. As new scientific information becomes  
5 available, it can be applied in the risk assessment and  
6 strategies can be re-evaluated.

7           In fact, risk assessment is a good way of  
8 determining what gaps exist so that we can target needed  
9 research. So it also provides a feedback loop to enable the  
10 risk to be better defined as new information comes along.

11           Risk analyses play an important role in managing  
12 health hazards in food and, thus, improving food safety.  
13 Once hazards are identified, the risk managers can weigh  
14 options to address these hazards. Options may include  
15 decisions by food companies to modify their process controls  
16 or regulatory action when necessary.

17           A broad range of voluntary options also exist such  
18 as activities on the part of industry to modify production,  
19 processing or labeling approaches. So there is much support  
20 for using risk analysis as a means of making science-based  
21 food safety decisions. Risk assessment supported by  
22 quantitative data has been used for many years in evaluating  
23 the safety of chemicals as we heard already this morning.

24           But we are significantly lacking in similar data  
25 related to food-borne pathogens. In our risk assessments,

1 we assume that high levels of uncertainty are the rule, not  
2 the exception. Part of the challenge relates to the fact  
3 that biological population dynamics may be unpredictable.

4 We must consider survival, growth and decline of  
5 microbial populations throughout the farm-to-table  
6 continuum. We must assess both the potential for human  
7 illness resulting from consumption of food, as well as  
8 illness resulting from cross-contamination.

9 We acknowledge evolutionary changes in pathogens,  
10 for example, virulence acquisition. Another challenge  
11 relates to the many data gaps that limit the precision of  
12 risk assessments. The final assessment is only as good as  
13 the data that is currently available.

14 But despite these methodologic challenges, we have  
15 made some progress in conducting risk assessments. Our  
16 microbiological risk assessments incorporate the previously  
17 mentioned steps of hazard identification, exposure  
18 assessment, dose response assessment and risk  
19 characterization.

20 USDA has completed a risk assessment on Salmonella  
21 enteritidis in eggs and egg products which was our first  
22 farm-to-table quantitative microbial risk assessment. This  
23 was completed in June of 1998.

24 The risk assessment is being used to develop a  
25 strategy to address egg safety. In fact, the President's

1 Food Safety Council, which is conducting strategic planning  
2 for food safety, will soon release an action plan to improve  
3 food safety in the United States.

4 We are also conducting a risk assessment for E.  
5 coli 0157:H7 in ground beef and carcass trimmings.

6 Consistent with the farm-to-table approach, the exposure  
7 assessment addresses on-farm production to include  
8 transportation, slaughter inputs from hide removal to  
9 carcass chilling, and product preparation from grinding to  
10 consumption.

11 We have also entered into a cooperative agreement  
12 with Harvard University for a risk analysis of bovine  
13 spongiform encephalopathy, or BSE. And FDA and FSIS are  
14 jointly carrying out a risk assessment for Listeria  
15 monocytogenes in a variety of ready-to-eat foods.

16 So although we prefer to the extent possible  
17 quantitative risk assessments, we also believe in risk  
18 assessment in the right proportions. That is, the level of  
19 detail considered in a risk assessment and included in a  
20 risk characterization should be commensurate with the  
21 importance of the problem. Salmonella enteritidis, E. coli  
22 0157, Listeria and concerns relating to BSE all reflect  
23 important problems.

24 We also utilize risk analysis to deploy our  
25 valuable inspection resources based on food safety risks.

1 This risk base deployment forms the basis for the HACCP-  
2 based inspection models project. For this project, in  
3 volunteer plants that slaughter young, healthy classes of  
4 animals, industry conducts on-line carcass sorting  
5 activities under FSIS oversight and verification. These new  
6 inspection activities enable us to concentrate on food  
7 safety risks, whether they be visual or microbial.

8           Beyond the formal risk assessments for major  
9 policy decisions, we have also made progress in implementing  
10 various risk management strategies. When quantitative data  
11 do not exist, we then base our regulatory management  
12 strategies on qualitative data.

13           HACCP, the Hazard Analysis and Critical Control  
14 Point Systems, are a risk management tool because they  
15 enable the user to identify hazards that are reasonably  
16 likely to occur and to develop a plan to prevent or control  
17 the hazard. As more quantitative risk assessments are  
18 conducted and hazards become more accurately characterized,  
19 HACCP plans become more effective.

20           Right now, we are in the final stages of  
21 implementing HACCP in meat and poultry plants. And HACCP is  
22 being implemented in other commodities, as well, such as  
23 seafood. Performance standards for pathogen reduction are  
24 another risk management tool that we use today.

25           Along with mandatory HACCP, we have in place

1 pathogen reduction performance standards for Salmonella that  
2 slaughter plants much meet. And we test products to ensure  
3 that these standards are, in fact, met.

4           Such standards provide a basis for plants to  
5 calibrate their process control measures. So far, testing  
6 indicates that plants are meeting the challenges,  
7 significantly reducing the prevalence of Salmonella in many  
8 raw products. Thus, this risk management tool is working to  
9 improve food safety.

10           And for the future, we will consider establishing  
11 pathogen reduction performance standards for other pathogens  
12 of public health concern. An additional non-regulatory tool  
13 is food safety education. For consumers, we have the "Fight  
14 Bac" campaign, the result of a public-private partnership  
15 for food safety education begun as the result of the  
16 President's Food Safety Initiative.

17           Food safety education is an important risk  
18 management tool because everyone has a responsibility for  
19 food safety. And consumers have an important role in  
20 handling, preparing and storing food properly to reduce the  
21 risk of food-borne illness. In fact, education is needed  
22 all along the farm-to-table chain.

23           The recent public health education activities  
24 include communicating the recommended hamburger cooking  
25 temperature of 160 degrees Fahrenheit and identification of

1 meat color as an unreliable indicator of doneness.

2           So in closing, there are tangible benefits to  
3 including food safety standards based on risk assessment.  
4 Among the many long-term benefits are improving food safety,  
5 maintaining and improving consumer confidence in the safety  
6 of the food supply, and facilitating trade. We would like  
7 to see more involvement by the industry, consumer groups and  
8 others interested in food safety risk assessment to achieve  
9 science-based food safety systems both here and abroad.

10           To maintain confidence in the safety of the food  
11 supply and avoid the chaos that would result if we did not,  
12 we must see that science wins out of rhetoric; that science  
13 guides our food safety policies. By doing so, the consumer  
14 will benefit from the food supply that is as safe as  
15 possible. It will also facilitate the harmonization of food  
16 safety standards and will in turn facilitate trade between  
17 nations. Thank you very much.

18           (Appause.)

19           DR. STERNER: Questions for Dr. Petersen? We are  
20 scheduled for a break due to the absence of Dr. Bolger. We  
21 will break for 15 minutes and reconvene in 15.

22           (Whereupon, a brief recess was taken.)

23           DR. STERNER: They say in sporting circles you  
24 can't start the program without the players. And Dr. Angulo  
25 was missing, but we have located him and he is ready to

1 speak. Fred is well known in veterinary circles for the  
2 role that he plays at the CDC and investigating clinical  
3 outbreaks of microbial disease that affect humans.

4 Fred, I am not going to belabor your background or  
5 your history since we don't have much of the room. If you  
6 will go ahead and get us started, we will stay on time.

7 **HUMAN HEALTH IMPACT FROM FOOD-BORNE DISEASE**

8 **Dr. Fred Angulo**

9 (Slide.)

10 DR. ANGULO: As most of you saw in the risk  
11 assessments, much of the data that was provided in the risk  
12 assessment is through a new project that has been  
13 established at CDC which is called the Food-borne Disease  
14 Active Surveillance Network or FoodNet.

15 FoodNet is the primary food-borne disease  
16 component of CDC's emerging infections program. It was  
17 established in 1995 within the EIP sites. And it is a  
18 collaborative effort between the participating state health  
19 departments, U.S. Department of Agriculture Food Safety  
20 Inspection Service and FDA.

21 (Slide.)

22 Oh, if you would like more information including  
23 the annual summaries and descriptions about FoodNet, there  
24 is a website that is available and we are happy to -- please  
25 let us know and we could provide the website to you at the

1 end of the talk, also.

2 In 1999, the FoodNet population catchment area is  
3 28 million. We are happy to announce that we are adding a  
4 ninth site. The ninth site will be in the west. And the  
5 actual site will be announced tomorrow and will bring our  
6 population up to over 30 million persons within the  
7 population catchment area.

8 (Slide.)

9 The primary objectives of FoodNet are to determine  
10 more precisely and to monitor better the burden of food-  
11 borne diseases and to determine -- secondarily, determine  
12 the proportion of food-borne diseases which are attributable  
13 to specific foods. We, therefore, see and are pleased to  
14 play a role in risk assessments because we see the data  
15 generated within FoodNet as being data essential to doing  
16 precise risk assessments.

17 Equally important, we see FoodNet as a platform to  
18 monitor the reduction of food-borne illness that might occur  
19 when interventions have been put in place. We work very  
20 closely with the USDA FSIS to monitor the pathogen --  
21 monitor success through the pathogen reduction and HACCP  
22 plan.

23 (Slide.)

24 FoodNet conducts active surveillance on seven  
25 bacterial pathogens, one of which is Campylobacter. This

1 active surveillance for Campylobacter is conducted by  
2 visiting at least monthly, but in most cases, weekly each of  
3 the clinical laboratories within the population catchment  
4 area.

5 Presently, there is about 350 clinical  
6 laboratories. These laboratories receive a stool sample  
7 from a person who is ill enough to seek care, a physician  
8 concerned enough to gather a stool sample and send it to the  
9 clinical laboratory, laboratory test, and then we ascertain  
10 the cases actively from those clinical laboratories.

11 (Slide.)

12 This just shows the type of data that is  
13 available. This is from the annual report which is on the  
14 web. And it shows the seasonal distribution of culture-  
15 confirmed cases for the foremost commonly identified  
16 bacterial pathogens, Campylobacter being the most commonly  
17 identified culture-confirmed illness each month of the year.

18 And you also see the marked seasonal distribution of  
19 Campylobacter which has been discussed.

20 (Slide.)

21 Since FoodNet has been in place since 1996, we can  
22 begin to assess trends in food-borne illness. And this is  
23 some of the exciting data that we published in March of this  
24 year in the MMWR, and also we published in collaboration  
25 with FSIS and report to Congress.

1           And it is very subtle. You see the Salmonella in  
2 the second bar there declined a very small proportion from  
3 1996 through 1998. But because we have serotype-specific  
4 data, we can explore within specific serotypes declines.  
5 And to show you the amount of precision that is within  
6 FoodNet, that small decline of Salmonella which we detected  
7 in the first three years of the project we believe is -- and  
8 particularly when considering the reduction in Salmonella  
9 that is present in the slaughter sampling through the  
10 pathogen reduction plan, they correlate -- those declines of  
11 Salmonella correlate so closely that we believe this decline  
12 in Salmonella is attributed to the -- in large part to  
13 improved safety of meat and poultry.

14                   (Slide.)

15           Equally exciting is a remarkable decline in  
16 Campylobacter and poultry-confirmed illness, most prevalent  
17 in California. This points out that had the risk assessment  
18 been done based on 1997 data, there would have been 25  
19 percent more illness. It also suggests that in 1999,  
20 because this trend is appearing to continue into 1999, the  
21 primary report of that trend will be published in the March  
22 2000 MMWR.

23           But the trend appears to be continuing in 1999.

24           And had the risk assessment been done on 1999 data,  
25 there would have been probably an order of that magnitude

1 decline in the outcome identified in the risk assessment.

2 (Slide.)

3 Besides ascertaining culture-confirmed cases, we  
4 ascertain -- the FoodNet personnel ascertain outcomes of  
5 those patients which include whether the patients were  
6 hospitalized or not. And there has been some misstatements  
7 at the meeting that Campylobacter does not frequently result  
8 in hospitalization.

9 In fact about ten percent -- there is actually 12  
10 percent of persons with culture-confirmed Campylobacter  
11 infections are hospitalized. So relatively a large burden  
12 of illness. We also ascertain deaths.

13 But all that -- this active case finding within  
14 FoodNet is -- although giving enough precision to monitor  
15 trends over time which is quite exciting, the enhancements  
16 to the FoodNet are really what are novel. And these  
17 enhancements are the recognition that the burden of illness  
18 caused by food-borne diseases, that the numbers of people  
19 that are sick in the community, illness in the general  
20 community is a reflection.

21 When we do surveillance only based upon culture-  
22 confirmed cases at the top of the pyramid, we miss all the  
23 people who may be seeking care, but don't get a culture  
24 collected or they get a culture collected, but it is not  
25 tested for the pathogen that caused their illness, etcetera.

1 Any break in the chain of these events will cause the  
2 person to not be culture-confirmed.

3 Well, the beauty of FoodNet is that we are doing  
4 surveys and studies in all of these chains of events to  
5 identify what the loss in reporting is of each of the steps  
6 and that these surveys are very robust relatively. In terms  
7 of, for instance -- it's on the next slide.

8 (Slide.)

9 For instance, we are doing a population survey.  
10 The population survey is in its third cycle. In each of the  
11 cycles, there has been almost 10,000 persons interviewed.  
12 We are interviewing 150 people per month in each of the  
13 sites and with nine sites coming on-line. Over 1,000 people  
14 are interviewed a month.

15 Those people are interviewed and asked had they  
16 had diarrhea in the last week -- excuse me, in the last  
17 month. If they had diarrhea, they are asked if they  
18 submitted a stool sample, etcetera. So we begin to get  
19 information about the prevalence of diarrhea in the  
20 population and people seeking care, etcetera, and to begin  
21 to understand what is happening at the bottom of the  
22 pyramid.

23 Equally robust is a survey of physicians that we  
24 did. We surveyed 5,000 physicians in the FoodNet sites  
25 which was close to one-third of all physicians in private --

1 that handled patients that see -- that see patients with a  
2 diarrheal illness. And although the response rate from the  
3 physicians survey was only 67 percent, it is a remarkably  
4 high response rate for a physician survey. And we have  
5 information from the physician survey about how frequently  
6 physicians culture patients who seek care.

7 (Slide.)

8 And we also survey on an annual basis, but in  
9 detail, every two years each of the laboratories within the  
10 FoodNet sites to see whether their culture practices are  
11 changing from year-to-year.

12 (Slide.)

13 The exciting piece of this, besides the FoodNet  
14 being used as a platform to monitor -- actively monitor in a  
15 consistent and comprehensive manner culture-confirmed  
16 illness, we can estimate what is happening at the bottom of  
17 the pyramid. And this was published in September of 1999 --  
18 the first author is Paul Meade -- in CDC's Emerging  
19 Infectious Disease Journal which is available on-line and  
20 copies of which of this article I have at the table at the  
21 back.

22 These are the new estimates and we believe the  
23 most precise, to-date estimates of food-borne illness in the  
24 United States. We believe that there are 76 million  
25 infections each year in food-borne illnesses. These are

1 infections due to contaminated foods.

2           And so previous statements of a one in a ten risk  
3 of food-borne illness appeared to be -- well, we don't -- we  
4 perceive a greater risk than had previously stated. And it  
5 also points out the numbers of hospitalizations. This is  
6 not all mild illness, although much of it is self-limiting  
7 illness, and the numbers of deaths that we attribute to  
8 food.

9           And these estimates actually demonstrate that the  
10 risk of cases is somewhat higher than previous risks, but  
11 the number of deaths are lower than previous risks --  
12 previous estimates.

13           (Slide.)

14           This is itemized in the paper that I mentioned  
15 that is available at the back. But these are the numbers of  
16 -- these are the most common food-borne illnesses with a  
17 known etiology. So the estimate of 76 million includes even  
18 an estimate for, we believe, food-borne illness that we have  
19 -- public health has not even identified the pathogen yet.  
20 So about two-thirds of the 76 million infections are  
21 actually unidentified pathogens.

22           But then amongst the known pathogens, these are  
23 the ten -- these are the most common known pathogens just to  
24 point out that in terms of illness amongst the known  
25 pathogens, Campylobacter causes 14 percent of the food-borne

1 illness amongst the known pathogens. Salmonella accounts  
2 for less, ten percent.

3 But then as you look at the number of deaths to  
4 point out the -- to reiterate the severity of Salmonella  
5 infections, Salmonella accounts for 30 percent of the deaths  
6 associated with food-borne diseases. And Campylobacter,  
7 although not an insignificant number -- 100 deaths are  
8 attributed to Campylobacter each year, 99 deaths. That is  
9 only five percent of the total deaths.

10 (Slide.)

11 Also interesting, just an aside, is these are the  
12 most commonly identified food-borne pathogens. And so  
13 germane to our discussion here is you can begin to say,  
14 well, which of these pathogens can carry resistant  
15 determinants through the food supply. And, therefore, it  
16 points out the need to focus on Campylobacter and Salmonella  
17 in particular, and also perhaps some other pathogens.

18 But Salmonella and Campylobacter are clearly the  
19 ones to monitor closely for the transmission of resistant  
20 determinants through the food supply because we believe that  
21 Campylobacter and Salmonella is seldom transmitted person to  
22 person and is largely transmitted through the food supply.

23 So if anybody would like additional information  
24 about FoodNet, the web page is available. And please take a  
25 moment, if you like, to pick up the article published in the

1 Emerging Infectious Diseases which provides the estimates of  
2 food-borne illness in the United States.

3 (Applause.)

4 DR. STERNER: Are there questions for Dr. Angulo?

5 Thank you, Fred. Our next presenter is going to deal with  
6 food-borne resistant pathogens. Dr. Glenn Morris graduated  
7 from Rice University in Houston, Texas with a bachelor of  
8 arts in 1973. He received his M.D. degree, magna cum laude  
9 in 1997.

10 And from 1989 until the present, he has been  
11 employed at the University of Maryland Medical School where  
12 he currently serves as the Chief of the Infectious Diseases  
13 Service and is the head of the Department of Epidemiology  
14 and Medicine. Dr. Morris.

15 **HUMAN HEALTH IMPACT OF RESISTANT FOOD-BORNE DISEASE**

16 **J. Glenn Morris, Jr., M.D.**

17 DR. MORRIS: Thank you. It is a pleasure to be  
18 here. And if I can make the contraption up here work, I  
19 should be in business. I am going to need your help.

20 (Slide.)

21 I just sort of wanted to follow up on what Fred  
22 presented. Basically, Fred gave the overall data on  
23 incidence of food-borne disease in the country based on the  
24 FoodNet estimates. And I would emphasize, the FoodNet  
25 database is really a fabulous database which has moved us

1 forward substantively in our understanding of the occurrence  
2 of food-borne disease within this country.

3 I sort of saw my role as trying to look  
4 specifically at the issue of resistant pathogens and sort of  
5 asking the question, what happens -- what is the human  
6 health impact if you are dealing with a resistant  
7 microorganism as opposed to one that is sensitive to the  
8 standard complement of antibiotics.

9 (Slide.)

10 The primary areas of concern are two-fold. First  
11 of all, there are concerns related to the direct  
12 transmission of resistant pathogenic microorganisms to  
13 humans. In other words, resistant Campylobacter, resistant  
14 Salmonella. And, again, I would focus on those two based on  
15 the data that Fred has shown and other data from a variety  
16 of sources suggesting that those are the major causes of  
17 human health problems associated with food-borne disease.

18 This is not to say that there are not substantive  
19 problems with other pathogens. But at least as an initial  
20 point of focus, these two appear to be a not unreasonable  
21 starting point.

22 I would emphasize, however, that there is also a  
23 second issue which relates to the transmission of genetic  
24 material or resistance chains to colonizing microbial flora.  
25 The concept here is that rather than -- or, you know, in

1 the first instance, you are talking about a pathogen that  
2 can directly cause illness in humans.

3           In the second instance, you are talking about a  
4 microorganism which may carry a resistance chain which in  
5 and of itself may not cause illness in the patient at that  
6 point in time, but has the potential of introducing that  
7 resistance chain into the microbial flora of the patient.

8           And, again, keep in mind that we as humans are covered  
9 with, filled with bacteria. We have a very intricate  
10 microbial flora. And this microbial flora becomes extremely  
11 important when you begin to talk about immunosuppressed  
12 patients and particularly patients who undergo transplants,  
13 organ transplants, bone marrow transplants or intensive  
14 chemotherapy.

15           What you become infected with when you are  
16 immunosuppressed as a patient is what you are colonized  
17 with. And so consequently, you are colonizing flora. And  
18 the resistance status of your colonizing flora becomes an  
19 extremely important element in terms of your risk when  
20 undergoing subsequent procedures designed to cause  
21 immunosuppression.

22           And I think the two microorganisms that have been  
23 the focus of concern in this category would be the  
24 enterococci. And there are potential concerns really to E.  
25 coli and other enterobacteria. E. c. -- again, the data in

1 these areas are very poor, actually virtually non-existent.

2 But I think these are areas that need to be kept in mind,  
3 particularly in the context of the increasing levels of  
4 antimicrobial resistance we are seeing in hospitals  
5 throughout the United States.

6 (Slide.)

7 If we focus specifically on Salmonella and  
8 Campylobacter, the problems of antimicrobial resistance are  
9 initially related to failure of therapy. In other words, if  
10 you have a serious infection and, as Fred has pointed out,  
11 serious infections with these microorganisms do occur,  
12 particularly with Salmonella, and you have a resistant  
13 organism or multi-resistant organism, then that organism is  
14 not going to respond to therapy.

15 DT104s have attracted a great deal of attention as  
16 you all are well aware. These basically are strains that  
17 combine resistance to ampicillin, chloramphenicol,  
18 streptomycin, sulfonamides and tetracycline. And there are  
19 suggestions of increased morbidity and mortality associated  
20 with infections of these strains.

21 Again, some of these data are difficult to  
22 interpret because it is hard to sort out cause and effect  
23 relationships. But nonetheless, there are data suggesting  
24 that these strains do cause more severe illness or have the  
25 potential for being associated with higher levels of

1 morbidity and mortality.

2 I think from a straight clinical standpoint --  
3 and, again, speaking as a clinician who sees patients on a  
4 regular basis -- the suggestions of decreased quinolone  
5 susceptibility are probably of even greater concern. And,  
6 again, I would hope that many of you have seen the recent  
7 article that appeared in the New England Journal of Medicine  
8 on November 4th relating to the Danish outbreak of strains  
9 which showed a decreased quinolone susceptibility.

10 And their comment that these -- the patients who  
11 were infected with these strains, despite the fact that  
12 these strains were technically susceptible to Ciprofloxacin,  
13 that there was a "lack of clinical effect" of the  
14 quinolones.

15 I would emphasize the importance of this because,  
16 again, from a clinical standpoint, the quinolones are our  
17 primary drug in terms of management of Salmonella.  
18 Salmonella is -- can be a very devastating infection,  
19 particularly in the very young and the very old. It  
20 frequently infects endothelial surfaces.

21 The quinolones have in many ways been miracle  
22 drugs with Salmonella. They show excellent cure rates.  
23 They penetrate into areas where you don't get good  
24 penetration with other drugs. And clearly the drug of  
25 choice for disseminated Salmonellosis are the quinolones.

1           In that sense, the DT104s are of concern. But you  
2 are not necessarily dealing with the drug of choice. When  
3 you begin to deal with decreased quinolone susceptibility,  
4 particularly when combined with strains that carry the DT104  
5 resistance pattern, you have a very significant clinical  
6 problem because you are beginning to lose your drug of  
7 choice. And, again, Salmonella infections can be very  
8 severe and life-threatening.

9           (Slide.)

10           In terms of Campylobacter, again, quinolone  
11 resistance, I think at this point there is good  
12 documentation that there are increasing problems with  
13 quinolone resistance in Campylobacter. Available data  
14 suggests that quinolone-resistant strains result in a longer  
15 duration of diarrhea. Data out of Minnesota, some of the  
16 FoodNet data, you do get several days of increased diarrheal  
17 illness.

18           However, we really don't have a good handle on  
19 some of the other health impacts. As Fred has pointed out,  
20 Campylobacter is not always an innocuous disease. And,  
21 again, our reporting systems are probably skewed to the more  
22 severe end of the spectrum. But nonetheless, you do see a  
23 substantive hospitalization rate.

24           And there are good data suggesting that an  
25 immunocompromised host, particularly patients with AIDS,

1 Campylobacter can be a very severe illness. And in those  
2 patients, loss of the quinolones may become a very important  
3 factor. Again, speaking to someone who sees AIDS patients,  
4 I am very concerned about this ongoing difficulty and the  
5 specter of decreasing availability of quinolones as a first-  
6 line therapy for patients with disseminated Campylobacter  
7 infections.

8 I would also raise the question about the Guillain  
9 Barre syndrome rates. As you are aware, the predominant  
10 long-term sequelae and by far the most serious long-term  
11 sequelae of Campylobacter infections is Guillain Barre  
12 syndrome. And at this point in time, we don't have a good  
13 feel for what is going to happen if we lose our primary  
14 therapeutic agent against Campylobacter in terms of ongoing  
15 rates of GBS.

16 So we really don't have any data on these other  
17 health impacts. But I think that these are clearly areas  
18 that need to be looked at because they may make a profound  
19 difference in the way in which we deal with these data.

20 (Slide.)

21 Other issues, there are suggestions that resistant  
22 strains may have increased virulence. Again, it is  
23 difficult to tease out the effect of increased virulence,  
24 increased numbers of hospitalization versus various types of  
25 reporting bias. But this had been suggested.

1           There are also issues relating to increased  
2 transmissibility of these agents, particularly in  
3 association with prior antimicrobial use. And it is very  
4 clear that if you perturb someone's colonic flora with prior  
5 antibiotics, it, you know, sets them up for infection with a  
6 multi-resistant strain.

7           And there are even suggestions that the infectious  
8 dose may be dropped, again, in the setting of prior  
9 perturbation of the colonic flora with antimicrobial agents  
10 which is not an uncommon circumstance these days. If you go  
11 to your physician, you may well get an antibiotic for  
12 something and that may well set you up for subsequent  
13 infection.

14           (Slide.)

15           In terms of introduction of resistance chains, I  
16 think most of the focus there has been on the enterococci,  
17 particularly on the resistance to vancomycin, VRE,  
18 vancomycin resistant enterococci. I would emphasize the  
19 concept that I mentioned earlier is that the colonizing  
20 strains, the strains with which you were colonized in your  
21 intestinal tract are the strains with which you become  
22 subsequently infected.

23           And, again, we have shown this in several studies,  
24 following patients longitudinally. Once you are infected  
25 with a VRE strain, you basically are infected with that

1 strain for life. The numbers may drop to low detectable  
2 levels. But if you are subjected to antibiotics or  
3 chemotherapy, that strain will re-emerge.

4           And if in turn you were at a severely  
5 immunocompromised state, that strain which may be  
6 untreatable with currently available antibiotics may well be  
7 the cause of your demise. So you really don't want to  
8 introduce resistance chains into the colonizing flora in  
9 patients.

10           I would also note that there have now been several  
11 studies pointing out the significant cost associated with  
12 vancomycin-resistant enterococci versus vancomycin-sensitive  
13 enterococci. Estimates vary widely, anywhere from several  
14 thousand dollars up to \$90,000.00 or \$100,000.00 per case.  
15 So vancomycin-resistant enterococci is a substantive  
16 concern.

17           (Slide.)

18           Now, I will say that in this country, we have had  
19 substantive problems with vancomycin-resistant enterococci.

20           This happens to be our own home-grown problem in University  
21 Hospital in Baltimore. And it is a substantive problem with  
22 deaths associated.

23           Now, of course, the thing in the United States is  
24 that we have not used the vancomycin analogues in animal  
25 feeds. And so consequently, this appears to be, speaking as

1 a physician, of our own doing associated with our heavy use  
2 of vancomycin in the hospital setting.

3           Nonetheless, I think there are increasingly  
4 convincing data coming out of Europe that there can indeed  
5 be introduction of vancomycin resistance chains through the  
6 food supply and, again, demonstrating that these are  
7 possible transmission routes.

8           I think the other point that I would make with  
9 these is, again, the concept of endemicity. What has  
10 happened in the United States is that VRE has become endemic  
11 in patient populations. We are finding that 20 to 25  
12 percent of all hospitalized patients carry VRE in their  
13 intestinal tract.

14           Again, for most of these patients, these are  
15 innocuous colonizations. They don't cause any problem.  
16 But, again, if you have got a patient with VRE who you  
17 subsequently try to do a bone marrow transplant on, they are  
18 at substantive risk that they will develop VRE bacteremia.

19           You get to the concept of thresholds on this. And  
20 my sense in watching the VRE epidemic progress -- and,  
21 again, it truly has been an epidemic which has progressed  
22 over the past decade -- is that it is very difficult to set  
23 a lower threshold; that once you begin to see the genes  
24 introduced into human populations, these will be amplified  
25 by use of drugs in humans.

1           And so the key factor is not introducing the gene  
2 into human populations in the first place because, again, I  
3 can tell you that we as physicians will be using these drugs  
4 when we have to. And when we do, then we will get  
5 amplification and we will end up with the type of situation  
6 that we currently have with vancomycin-resistant enterococci  
7 in this country.

8           (Slide.)

9           The resistance to quinupristin and dalfopristin I  
10 think is probably one of the major concerns right at the  
11 moment. Again, as you are aware, this is a drug, analogues  
12 of which have been widely used in agriculture. There is a  
13 high rate of resistance in agricultural isolates. We have  
14 found a low rate of resistance in humans. Actually, there  
15 have been several studies which have documented this.

16           And I think the real question, and it is going to  
17 be an interesting natural experiment if you will, will be to  
18 see with the current introduction of Sinersid as a drug for  
19 human use within the past several weeks, whether we will see  
20 an amplification cycle in people of these genes and of these  
21 resistant strains that we are finding at very low levels in  
22 terms of colonization in humans at the present time.

23           I can tell you, there has been very aggressive  
24 promoting of Sinersid as a drug in this country. And so  
25 there is likely to be fairly heavy clinical use. And,

1 again, I think this -- at least on the part of the  
2 physicians. And I think, again, it is going to be  
3 interesting to see what happens given the low level of  
4 resistance that we know is already present in the human  
5 population.

6           The gram negative microorganisms, again, as I  
7 said, there are no data. These are obviously areas of  
8 concern. Again, I can tell you, since I spend a fair amount  
9 of time watching levels of resistance within hospitals,  
10 there are substantive increases in resistance levels in gram  
11 negative microorganisms within hospitals.

12           Probably most of this is driven by physician use  
13 of antimicrobial agents. But I think there needs to be some  
14 awareness that there may also be some transfer of genes  
15 between animal and human populations which at least need to  
16 be thought of or looked at more closely.

17           (Slide.)

18           So to summarize, in terms of the impact of  
19 resistant microorganisms, there are two routes that would  
20 appear to be a major concern: the direct transmission of  
21 resistant pathogenic microorganisms and, secondly, the  
22 transmission of genetic material or resistance genes to  
23 colonizing microbial flora.

24           There is a clear health impact associated with  
25 resistant strains. But at the same time, as has been

1 repeatedly said, there are substantive data gaps and there  
2 is a clear need for further quantitative data and further  
3 modeling in these areas. Thank you.

4 (Applause.)

5 DR. STERNER: Questions for Dr. Morris? Please go  
6 to the microphone.

7 MR. : Just a couple of points. Do you  
8 think -- you gave nice examples of the physician-driven  
9 vancomycin resistance. Right? And I just wonder if another  
10 illustration of that might be if you look around at  
11 different countries in the world who don't use vancomycin as  
12 much as here, who don't use treatment of Campylobacter early  
13 on, they have much less resistance problem. And I think we  
14 have to be careful not to blame the animals too much or put  
15 the blame on our own doorstep.

16 For example, the use of gentamicin for 30 years in  
17 animals has produced no resistant strains in man at all that  
18 we have found at present, although the use again may select  
19 for those resistant strains later on. So I just wondered if  
20 you look around geographically, if you think it confirms the  
21 hypothesis that physicians do have quite a lot to do with  
22 this.

23 DR. MORRIS: I think there is absolutely no  
24 question speaking as a physician that physicians play a very  
25 substantive role in development of antimicrobial use in

1 human populations. I think, however, there is the issue  
2 both of the ongoing physician use of these drugs and the  
3 potential introduction of resistance chains in the human  
4 populations where within which there can then be subsequent  
5 amplification.

6           There is no question that physicians in this  
7 country through their antimicrobial use practices amplify  
8 resistance. The question is can we change that. I can tell  
9 you, having been very active with CDC programs related to  
10 judicious use of antimicrobials, being very active in our  
11 hospital in trying to restrict antimicrobial use, I would  
12 love to tell you that we are going to be able to  
13 successfully change the prescribing practices of physicians  
14 in this country. But I can't.

15           I can tell you that all of the efforts we've made  
16 to date to try to make a substantive impact in the  
17 prescribing practices of physicians have not worked that  
18 well. And so I think there needs to be a recognition that  
19 while, you know, we may not like it, there is, indeed, going  
20 to be amplification once genes are present in the human  
21 population. And that amplification is clearly going to be  
22 driven by human use of antimicrobial agents.

23           But I think that -- when you are talking about  
24 risk assessment and about threshold levels, I think it has  
25 to be recognized that there will be that amplification

1 pattern.

2 MR. : Could you explain further your  
3 concern for Guillain Barre and fluoroquinolone resistance,  
4 what that connection might be?

5 DR. MORRIS: As I said, this is extremely -- you  
6 know, these things are extremely speculative in that one of  
7 the -- Guillain Barre appears to be basically an  
8 immunologically mediated response to infection with specific  
9 strains of Campylobacter. The question is whether early  
10 treatment of Campylobacter might in some way abort that  
11 response or might have some impact on Guillain Barre.

12 This is entirely, completely speculative. I have  
13 no idea. But I think again, if you were beginning to look  
14 at health impacts to design risk assessment models, I think  
15 there needs to be a recognition that the lack of an  
16 effective first-line drug against Campylobacter may have an  
17 impact further downstream on long-term sequelae.

18 And I think, again, if you look at the medical  
19 impact of Campylobacter, by far the greatest costs are  
20 associated with Guillain Barre as opposed to the acute  
21 diarrheal episodes. I am not saying there is any  
22 association. I am simply saying if you think about what  
23 needs to go into a modeling process, that don't forget the  
24 downstream sequelae and the possible impact of the lack of  
25 an immediate, effective, first-line drug.

1           Now, again, erythromycin is available. But the  
2 quinolones have been awfully nice. And at least at this  
3 point in time, I would say that by far the standard practice  
4 pattern is to use the quinolones.

5           DR. STERNER: David?

6           MR.           : Glenn, that was a very nice talk.

7           I would just like to provide some follow-up on your comment  
8 that our efforts to influence human physician prescribing  
9 practices haven't worked that well. See, this is actually a  
10 very complicated area as you may know. There is out-patient  
11 and in-patient and different specialties. It is kind of  
12 like saying drugs on the farm. You know, I mean, there is  
13 just such great variation.

14           We have had challenges particularly in the  
15 hospital prescribing practices. But we are in the process  
16 of learning how to influence the primary care prescribing  
17 practices. And we have a number of intervention projects  
18 that are starting to show benefit. We had a workshop of  
19 these projects -- well, primary investigators of these  
20 projects in June. And a report of this workshop is going to  
21 appear in the American Journal of Public Health.

22           So this is difficult. It involves patient  
23 education, behavior of the physicians and other parameters.

24           But we are actually starting to learn how to do it.

25           DR. MORRIS: I concur. And, again, there is some

1 beautiful work being done in trying to change physician  
2 practices. Nonetheless, if you are talking about a risk  
3 assessment model for today, I think it has to be recognized  
4 that there is an inevitable physician amplification  
5 component of it.

6 I sincerely hope that ten years from now, the  
7 impact of that physician amplification will be substantively  
8 less. And, again, we are actively working on that and I  
9 know a number of centers are. But for right now and  
10 probably for the next three to four to five years, it must  
11 be recognized that the physician amplification component is  
12 unfortunately, and I emphasize unfortunately, an inevitable  
13 component of any type of modeling that you do.

14 DR. STERNER: Thank you, Dr. Morris. It is always  
15 reassuring as a veterinarian to hear the words to the effect  
16 of, "Physician, heal thyself."

17 Our next speaker it might said has a history of  
18 professional parapetic peregrination, or more properly  
19 interpreted, that means that his resume looks as if he  
20 wasn't able to hold a steady professional job.

21 (Laughter.)

22 Will Heuston is a veterinary epidemiologist  
23 experienced in risk assessment, risk management, risk  
24 communication in industry, government and academia. And I  
25 might add on a personal note for those of you who have

1 concerns over the transmissible spongeiform  
2 encephalopathies, that he was one of the visionary people  
3 who probably 12 years ago now was on a committee that saw  
4 fit to keep that problem from rearing its ugly head here in  
5 the United States and the devastating impact that it would  
6 have had on the cattle business in the United States and  
7 Canada. With that, Will, I will introduce you to talk about  
8 risk assessment. Put it all together.

9 **INTERPRETING AND WEIGHING RISK**

10 **Will Hueston, D.V.M.**

11 DR. HUESTON: My challenge, I would like you to  
12 note first my challenge is to talk about risk management.  
13 And I am going to speak to you, in fact, as an ex-risk  
14 manager. So I have donned the appropriate apparel. I have  
15 my dark suit, white shirt and power tie.

16 (Laughter.)

17 The challenge is that I am going to try to put  
18 myself in the position, in fact -- I use Steve Sundlof as an  
19 example -- as the risk manager that must consider the  
20 information that is put forward and and make the decisions  
21 or recommendations for regulatory action.

22 I would like to start by giving you a little  
23 clarification, Politics 101. Now, there are actually two  
24 types of risk assessments that are ongoing for every  
25 decision or every regulatory issue. There is a scientific

1 risk assessment and then there is a politic risk assessment.

2           So what happens is the lower down you get into an  
3 organization, the more science becomes important and touted.

4           The higher you get into an organization, the more important  
5 politics. So in the United States at our top tier are all  
6 political appointees. And don't ever kid yourself that  
7 politics aren't taken into the equation for making  
8 decisions.

9           At the same time, the government has excellent  
10 scientists. And the scientists at the other end of the  
11 spectrum are doing some very good and some very clear  
12 science. Now, in the middle rests the position of the risk  
13 manager who must manage both the political risks and the  
14 scientific risks.

15           I want to give you then ten or 12 points or  
16 bullets to take away about the challenge of risk management.

17           And these are Will Hueston's personal opinions based on my  
18 personal experience and please take them as such.

19           Number one, the risk manager must balance science  
20 and politics. Let's start with the science part. I think  
21 it is very -- it is noble. It is appropriate to say that  
22 policy making must be science-based. Do not kid yourself  
23 for one moment that there are not politics in science.

24           If we take the same set of data and ten  
25 scientists, we are very likely to get multiple, if not ten,

1 different interpretations of the data. I think it was  
2 pointed out yesterday, this is one of the advantages and  
3 benefits of the scientific method, the conjecture and  
4 refutation, the argumentation that ultimately we hope leads  
5 to the best conclusion.

6           Secondly, this concept of mixing in the politics  
7 is all about who stands to win and who stands to lose, and  
8 recognize that wherever two or more people are gathered  
9 together, there are politics. And decisions in the end are  
10 not necessarily predicated on their relative importance in  
11 terms of risk to the United States. And I need not go very  
12 far with discussing cigarettes and human health to make that  
13 point in terms of regulatory decision-making.

14           We add for the risk manager the challenging of  
15 adding a modicum of common sense which is practicality in  
16 economics. The most scientifically sound risk management  
17 strategy is worthless if compliance is low, a lesson  
18 painfully learned in some of our recent public health  
19 issues.

20           Point number two, risk analysis is a tool. And i  
21 firmly believe that risk analysis is a tool to support  
22 rational decision-making in the face of uncertainty. Now,  
23 this risk analysis tool incorporates hazard identification,  
24 risk assessment, risk management and risk communication.

25           The critical first step as emphasized I think

1 yesterday by Louise Kelly, the critical first step is  
2 clarifying the question. Often overlooked is the importance  
3 of clarifying the question. The interpretations of the  
4 results can only be done in the context of the question that  
5 was asked.

6           Now, nextly -- next, the question itself may limit  
7 the need for the implementation of this risk analysis  
8 paradigm. We may, in fact, ask what are the hazards alone  
9 or ask in the case -- part of the case of the situation we  
10 are currently describing what are the consequences.

11           As well, and just a reality check for you, because  
12 of the involvement of politics and risk analysis, there are  
13 occasions in which political decisions are made. And the  
14 risk analysts are asked to put together a risk assessment to  
15 justify a political decision that has already been made.

16           Now, I am not trying to say in any way, shape or  
17 form that the scientists that are involved in these agencies  
18 are biased. I am just giving you a reality check on what  
19 actually happens at times.

20           Point number three, the risk assessment or risk  
21 analysis process is far more important than the final  
22 output, probability or numbers. So the beauty of this  
23 concept or this paradigm is the process. It is a logical  
24 approach to organizing data, available information to taking  
25 inputs through processes to outcomes in a systematic way.

1           And the many benefits of risk analysis include  
2 identification of data gaps, detailing of assumptions, the  
3 redistribution of resources in terms of risk management  
4 potentially, and the targeting of educational priorities.

5           Point number four, risk communication is far more  
6 than simply sharing the results. Risk communication means  
7 at its heart the involvement of all of those potentially  
8 affected parties in the entire risk analysis process.

9           Now, I would like to clarify some points that were  
10 maybe perhaps miscommunicated yesterday from my personal  
11 perspective. The risk analyst must solicit information from  
12 both the scientists and the stakeholders.

13           And I think one of the great advantages of risk  
14 analysis, in fact, is that as one begins to clarify the  
15 hazards, hazard identification in and of itself is one area  
16 in which we have the greatest amount of expertise and the  
17 widest population. In other words, every American  
18 considers himself an expert in hazard identification.

19           At the same time, if we involve the stakeholders,  
20 as well as the scientists, in the process of hazard  
21 identification, model development, identification of data  
22 sets, they gain greater buy-in and the ultimate product or  
23 process of risk assessment gains additional credibility.

24           At the end, we need most to avoid what I have  
25 heard called the "dad fallacy." And that is you do your

1 analysis in private, in secret. You decide on your  
2 regulatory approach or your risk management approach. You  
3 announce your approach and you hold up the risk assessment  
4 as your justification. To me, that is an abuse of the  
5 process.

6 Point number five, a systems approach or a pathway  
7 analysis supports risk management. So as a former risk  
8 manager, it was very critical for me to be able to  
9 differentiate between the options and a pathway analysis  
10 that allows then not only an evaluation of the magnitude of  
11 various impacts -- of various inputs, but also allows the  
12 evaluation of their effect on the outcome of concern.

13 As was presented in this risk assessment, a very  
14 interesting use of these spider diagrams, sensitivity  
15 analysis, and that can be used very effectively from a risk  
16 manager's point of view to look at the relative impact of  
17 different strategies of risk management on the final  
18 outcome.

19 At the same time, risk analysis can help us to  
20 identify the attributable fraction. In other words, that  
21 part of the risk that can be attributed to specific  
22 practices. Our ultimate decision may want to incorporate  
23 that because we may want to target those behaviors or those  
24 actions that have the greatest contribution.

25 At the same time, the reality check is as just was

1 eloquently presented and discussed by Glenn. And that is  
2 the challenge that some of the risk management procedures  
3 that one might -- options that one might lay out in the idea  
4 situation may not be available to us because the population  
5 and the risk -- the change in risk behaviors cannot be  
6 accomplished.

7           Point number six, evaluation of one risk cannot be  
8 accomplished in a vacuum. We need to develop holistic  
9 approaches. Back when I was studying physics, about the  
10 only thing I remember from physics is a concept that says  
11 for every action, there is an equal but opposite reaction.  
12 And an actual fact in the world in which we live and the  
13 ecology of the world in which we live, to take an action has  
14 implications in other areas.

15           And one of our challenges in doing -- as risk  
16 analysts is to begin to incorporate this into a holistic  
17 approach to risk analysis. We must consider the impacts of  
18 proposed risk management on other risks.

19           An interesting example, the DPT vaccine.  
20 Corporate America made a risk -- an economic risk assessment  
21 that said that the risk of a lawsuit for the sequelae to DPT  
22 vaccine was greater than the benefit, the profit that they  
23 made from selling the vaccine. It led to in a sense at one  
24 point if I understand it correctly the lack of a company to  
25 produce the vaccine. We ended up coming with a risk

1 management strategy to address those concerns to get the  
2 vaccine back into place to meet the public health need.

3 Point number seven, effective risk management must  
4 consider economics, cost effectiveness and practicality.  
5 Now, I realize -- and this morning was presented I think  
6 some very important concepts. If you follow the  
7 legislation, it is very clearly stated in the legislation as  
8 it regards the evaluation of some risks, that benefits  
9 cannot be considered.

10 I also recognize that one area of -- let's see, I  
11 won't use the word, "friction" -- of difference between the  
12 way in which the U.S. Department of Agriculture evaluates  
13 risks or implements risk analysis and the way in which the  
14 human health services has implemented risk analysis is the  
15 question of whether or not economics are incorporated in the  
16 risk analysis.

17 In the human health side, the public health side,  
18 we tend to shy away and say that we cannot put a value on a  
19 human life. We cannot translate a human life into a value.

20 I would contend that, in fact, we do that on a daily basis.

21 We may feel more comfortable to suggest or to say let's  
22 look at the public health measures that have the greatest  
23 impact in reducing illness or length of illness or number of  
24 deaths. But much of that translates very clearly into  
25 economics.

1           We have finite resources for public health.  
2           Therefore, we must look at the opportunity cost. In other  
3           words, what are we not doing if we put more money into a  
4           risk management strategy.

5           At the same time, we need to reiterate that the  
6           most logical and ideal solution may not be the most  
7           effective. Again, we can't rely, if you will, on scientists  
8           alone. We have the issues of sociology, of behavior. Now,  
9           a lesson that I learned quite painfully is that regulation  
10          alone does not accomplish risk mitigation.

11          If you would like proof for that, then drive home  
12          with me tonight on the Beltway at 4:30, a beltway on which  
13          the speed limit is 55 miles an hour. And I would argue, and  
14          anyone who lives in the D.C. area, that if you drive 55  
15          miles per hour, I think that you are probably at a greater  
16          risk than if you drive somewhere between 65 and 70.

17          I would like also to end this risk management --  
18          in the challenge of risk management to point out and to  
19          emphasize the very difficult position in which the Food and  
20          Drug Administration finds itself. I have great empathy.

21          I think of all the federal agencies with which I  
22          have had experience, the Food and Drug Administration is in  
23          the unique position of having the greatest numbers of  
24          unfunded mandates and the least increase in terms of their  
25          budget while at the same time carrying with them the

1 greatest impression of being the bad guys. So it is a real  
2 challenge for my colleagues in FDA I think.

3 Point number eight, reasonable and acceptable risk  
4 are fluid concepts and they vary according to a couple of --  
5 a number of factors. So we have already established or we  
6 discussed the challenge of defining safe. Safe is a  
7 subjective term. I would not get concurrence in this room  
8 on a definition of safety. Safe is a subjective term.

9 At the same time, zero risk is unachievable.  
10 There is no zero risk. We face the challenge that we have  
11 prostititized, if you will, that zero risk is achievable  
12 when, in fact, it is not. So the concept of safety and the  
13 reasonable or acceptable or tolerable risk are as much tied  
14 to a number of very human concerns like the outrage factor,  
15 the fear of the unknown, the question of whether or not a  
16 consumer has a choice.

17 Now, it also cannot -- risk cannot totally be  
18 defined as a mathematical entity. And I just want to share  
19 one small anecdote that impressed me with this. I was in a  
20 meeting in Paris, an international meeting in which we were  
21 talking about food safety at the farm level. And there were  
22 several presentations from the U.S. about farm-to-table risk  
23 assessment and the impact of the farm and the discussion of  
24 the potential carry-over risks of microbiological  
25 contamination.

1           During the discussion period, a Frenchman stood up  
2 and very impassionately said, "You Americans have forgotten  
3 what the jois de vie is all about. I want to eat my raw  
4 cheese. I want to drink unpasteurized milk. And I am  
5 willing to take the additional risk so that I may enjoy  
6 life."

7           We need to be very careful to recognize, in fact,  
8 that different cultures and different backgrounds and  
9 different personalities define safety differently. The  
10 challenge then of the risk manager, not to discriminate.

11           Point number nine, risk analysis is a dynamic  
12 process. It is not static. It is forever changing with new  
13 data. In fact, the document that was presented to you two,  
14 three, what, less than a week ago has already changed  
15 because this meeting will stimulate new data. And new ideas  
16 are coming to the people participating in this meeting. It  
17 is not a static situation.

18           If the risk management is successful, then -- in  
19 dealing with one of the contributors to risk, then something  
20 else will become more important.

21           Now, point number ten, the key to credible and  
22 effective risk analysis is trust, T-R-U-S-T, trust. And  
23 trust is built over time. And trust depends on openness and  
24 involvement. And trust is built first and foremost on the  
25 ability to listen.

1           It is interesting -- it can be very interesting  
2 that -- it is interesting to note that a good risk analysis  
3 in and of itself reduces risk. It reduces risk because of  
4 the increased education. In fact, some of the greatest risk  
5 management successes I experienced were situations in which  
6 we had sufficiently analyzed risks and involved  
7 stakeholders, that the stakeholders took actions without the  
8 necessitating for regulation.

9           Regulatory action is a very expensive, a very slow  
10 and a not very effective means for managing risk. We do not  
11 have a compliance force large enough in the United States to  
12 ensure 100 percent compliance with any regulation. So if  
13 one can achieve buy-in and trust and participation, then one  
14 may often accomplish greater risk management, in fact,  
15 simply in the process of doing risk analysis.

16           Point number 11, risk analysis presents -- risk  
17 analysis in the microbial field presents some new  
18 challenges. One cannot automatically take our toxicologic  
19 risk analysis and other risk analysis models and simply  
20 transpose those onto microbiological risk assessment.

21           I think as Dick Whiting pointed out very nicely,  
22 this microbiological risk assessment is a brand new and  
23 interesting area. It is also an area in which we are going  
24 to have to struggle with challenges that what is the risk  
25 manager's role in factoring in the -- for instance, the

1 temperature abuse of the consumer. So what does -- where  
2 does the government's responsibility and industry's  
3 responsibility and the consumer's responsibility begin? A  
4 very difficult question.

5 Well, lastly -- or just before I reiterate in some  
6 of my points, I would like to make one other. I would like  
7 to make a plea. And this is a plea for a unified approach.

8 Interestingly enough, I believe that down deep, we all  
9 share the same goal. We are all consumers. I don't believe  
10 that there is industry out there or businessmen out there  
11 that consciously want to produce a product that harms human  
12 health.

13 I would also like to extend this plea in terms of  
14 the public health community. I am a veterinarian. I firmly  
15 believe that everything I do as a veterinarian I do because  
16 of public health. And there are great opportunities I  
17 believe for increased collaboration. There is no place in  
18 risk analysis for differentiating between good guys and bad  
19 guys, for incorporating finger-pointing and for demeaning  
20 our colleagues. That isn't going to help us achieve  
21 credible risk analyses.

22 All right. Let me reinforce then the points.  
23 Point number one, the risk manager must balance science and  
24 politics. Point number two, risk analysis is a tool. It  
25 supports rational decision-making in the face of

1 uncertainty. Point number three, risk assessment and risk  
2 analysis are a process. The process is more important than  
3 the final output, probabilities or number.

4 Point number four, risk communication is far more  
5 than simply sharing the results. It means and requires the  
6 involvement of stakeholders in the entire process. Point  
7 number five, a systems approach, a pathways analysis  
8 supports the risk manager and risk management decisions.

9 Point number six, evaluation of one risk cannot be  
10 accomplished in an absolute and total vacuum. We must look  
11 to bring about or incorporate more holistic approaches.

12 Point number seven, effective risk management must consider  
13 economics, cost effectiveness and practicality.

14 Point number eight, reasonable and acceptable  
15 risks are fluid concepts. Reasonable and acceptable risks  
16 are fluid concepts. Point number nine, risk analysis in and  
17 of itself is a dynamic process, not static. Therefore, the  
18 analysis itself will be continually changing.

19 Point number ten, the key to credible and  
20 effective risk analysis is trust. And last point, number  
21 11, microbial risk analysis presents us with some new  
22 challenges.

23 I would like then to finish by sharing two things  
24 that I learned in my time as a risk manager that continue to  
25 be reinforced. Number one, there are some questions that

1 the American public feel are too important to be left to  
2 scientists. We should all be humbled by that occasion.

3 There are some questions that the American public feel  
4 are too important to be left to scientists.

5 And number two, the joy and benefit of being a  
6 risk manager, here is the interest paradox. If, in fact, we  
7 can successfully prevent disease, then we will be criticized  
8 for wasting resources on a problem that doesn't exist. If,  
9 on the other hand, we do not prevent disease, we will be  
10 criticized for not having taken sufficient action.

11 So I stand before you ready to be criticized.  
12 Thank you very much.

13 (Applause.)

14 DR. STERNER: You will notice that Dr. Hueston  
15 left time to answer questions. I told him to try and be  
16 controversial. I am not sure that I saw anything but heads  
17 nodding yes, yes, yes here. That's leading the cheer here I  
18 think. Anybody who has a question, please go to the  
19 microphone.

20 MR. : Thank you, Will. I was one of  
21 those nodding my heads through most of that. I do want to  
22 make a comment in defense of the risk assessors and the risk  
23 managers, at least that USDA which I am most familiar with.

24 Not too many years ago, decisions were made, you know,  
25 behind the rooms, you know, behind the closed doors of the

1 administrators and such. And then we came to the point  
2 where there were decisions made. And then when we got risk  
3 assessors, then we were given the challenge of providing  
4 assessment to support the decision.

5 I think at USDA, which I can speak most closely  
6 about, that has changed greatly in the last five years. And  
7 I think with the E. coli 0157 risk assessment that is being  
8 presented this afternoon downtown and such things, and the  
9 S. e. risk assessment, that it shows that we have had a  
10 change. And so the world has changed.

11 DR. HUESTON: I agree wholeheartedly. And I think  
12 the openness and sharing the openness is a very important  
13 point. And I think there has been progress. And I  
14 certainly don't want to demean that in any, shape or form.  
15 Yes, sir.

16 MR. : I would like to ask a question on  
17 risk communication. In Europe in the food area, we got a  
18 reaction in our consumers which is quite dramatically  
19 different from that in the U.S. with regard to, if you like,  
20 hormones in beef, antibiotics as growth promoters and  
21 recently and most dramatically, genetically modified food.

22 We got it wrong. Have you got it right? How have  
23 we managed to communicate an element of hysteria rather than  
24 perhaps a rational thought?

25 DR. HUESTON: Well, as you may or may not -- that

1 is a superb question. I will try -- I will share some of my  
2 thoughts. As you may or may not know, I have served -- I  
3 just finished serving six years as a member of the  
4 Spongiform Encephalopathy Advisory Committee in the U.K.

5           Some interesting differences -- there are lots of  
6 differences between Europe and the U.S. For all of those --  
7 those of you who have had the pleasure of living in Europe,  
8 it is a considerably different environment. And I certainly  
9 found in the U.K. -- and that is the area in which I have  
10 the most experience -- a couple of interesting things.

11           One, risk analyses are done in the U.K. in the  
12 spirit of secrecy. And one has the official Secrets Act  
13 which one can hold up and say this is a secret. And you are  
14 legally precluded -- the newspapers, in fact, are legally  
15 precluded from publishing that secret. If they publish,  
16 they close the newspaper and haul the publisher off to jail.

17           Number two -- and we are struggling. You know, in  
18 six years of SEAC, you have watched -- it has been  
19 interesting in the last six years to watch the whole  
20 evolution. Public meetings -- I have never attended a  
21 public meeting like this, that involved SEAC.

22           I served for a short time on the Spongiform  
23 Encephalopathy Advisory Group -- the Transmissible  
24 Spongiform Encephalopathy Advisor Group for the United  
25 States. All the meetings were held in public. They were

1 all open. Anyone that wants to attend sits in the back.  
2 Everyone has a chance to comment. All of the SEAC meetings  
3 are held in private.

4           There have over the years, therefore, built up  
5 some public feelings about the role of the government and  
6 about what is going on. And they are a little different  
7 than what happens in the United States. Now, that is one  
8 thing.

9           I think as well that there are cultural  
10 differences. I mean, certainly within the European  
11 community itself on some of the very issues you mentioned,  
12 huge cultural differences in terms of people's willingness  
13 to look to the future of GMOs.

14           So all I am saying in the end is I don't think  
15 there is a right answer. What may be the right answer for  
16 the United States today may not be the right answer for  
17 Nigeria.

18           If you have heard or read or followed the WTO  
19 discussions in Seattle, as well as some of the other  
20 discussions that have gone on, even CODEX meetings, a number  
21 of the developing countries have stood up and said, "Do not"  
22 -- "It is not appropriate for you developed countries to set  
23 a standard that determines food safety in our countries. We  
24 are still concerned about food security, the provision of an  
25 adequate food supply."

1           So I think it has to be looked at very carefully  
2 and in the context of each individual country.

3           MR.           : If I could just comment, I think  
4 one overriding reason that -- from our side is that we don't  
5 have anything equivalent in terms of respect, authority of  
6 the FDA and the CVM. And I think rather late in the day,  
7 countries are now feverishly trying to establish food safety  
8 agencies along the lines of the FDA which will have that  
9 respect. I wait with interest and I rather doubt they will  
10 have it when it comes. Thank you.

11           DR. HUESTON: Good point. Interesting to watch.

12           DR. STERNER: Further questions for Dr. Hueston?  
13 Thank you, Will.

14           (Applause.)

15           DR. STERNER: Our final speaker this morning hails  
16 from CVM. Dr. Linda Tollefson is the Director of the Office  
17 of Surveillance and Compliance at the Center for Veterinary  
18 Medicine. Her D.V.M. degree is from the University of  
19 Illinois and her master's in public Health is from Johns  
20 Hopkins University.

21           Dr. Tollefson was one of the developers of the  
22 National Antimicrobial Resistance Monitoring System, known  
23 as NARMS. For those of you who are TLA challenged as I am,  
24 that is three-letter acronym challenged, the FFDCa stands  
25 for Federal Food, Drug and Cosmetic Act. I actually had to

1 go ask Linda.

2 **EVALUATING RISK FROM RESISTANT PATHOGENS UNDER FFDCA**

3 **Linda Tollefson, D.V.M.**

4 DR. TOLLEFSON: We are moving from jois de vie to  
5 Food, Drug and Cosmetic Act. And I think there is quite a  
6 bit of difference between those two. You can't time me now  
7 until I get this.

8 DR. STERNER: It's coming now, Linda.

9 DR. TOLLEFSON: It's okay.

10 (Slide.)

11 This afternoon after lunch, we have asked several  
12 experts to discuss in a panel format how FDA should evaluate  
13 the human health risk attributable to resistant pathogens.  
14 And as Dr. Hueston pointed out, this is a very difficult  
15 topic because it does encompass both science and public  
16 policy.

17 Now, the purpose of my presentation, what I would  
18 like to do is lay out what FDA is thinking on this issue as  
19 we develop what is now generally referred to as the  
20 Framework Document and then more recently, our analysis of  
21 the comments on the Framework Document. And the analysis of  
22 the comments is available out at the registration desk if  
23 you haven't gotten that yet.

24 (Slide.)

25 FDA operates under the Food, Drug and Cosmetic Act

1 and the regulations adopted under it. You heard a lot about  
2 it this morning. Section 512 is one of the safety standards  
3 that establishes conditions of approval for new animal  
4 drugs. And in that section, it requires that the drugs be  
5 proven to be safe.

6 Now, prior to the addition of this section to the  
7 Act by the Animal Drug Amendments of 1968, animal drugs were  
8 regulated under several sections of the Act. And Dr. Rulis  
9 mentioned the Section 409 which is the food additive  
10 provisions. Substances formed in or on food due to the use  
11 of animal drugs were regulated under the food additive  
12 provisions in this Section 409.

13 Dr. Rulis also pointed out that neither Section  
14 512 nor 409 provides a definition of safe. However, the  
15 legislative history of Section 409, the food additive  
16 amendments -- again, Dr. Rulis covered this briefly --  
17 states that safety requires proof of a reasonable certainty  
18 that no harm will result from the proposed use of the  
19 additive. Okay?

20 (Slide.)

21 A similar definition of safety in the context of  
22 food additives has been established by regulation. And that  
23 statement is very similar. It states that there is a --  
24 safety means that there is a reasonable safety in the minds  
25 of competent scientists that the substance is not harmful

1 under the intended conventions of use.

2           The regulation goes further and states, as the  
3 legislative history does also, that this does not mean that  
4 we can establish with complete certainty the absolute  
5 harmlessness of the use of any substance. Also, that safety  
6 may be determined by scientific procedures or by general  
7 recognition of safety in some instances.

8           And in determining safety, the follow factors  
9 shall be considered: the probable consumption of the  
10 substance and of any substance formed in or on food because  
11 of its use, the cumulative effect of the substance in the  
12 diet, considering any chemically or pharmacologically-  
13 related substance or substances in that diet, and then  
14 safety factors which in the opinion of experts who are  
15 qualified to assess this are generally recognized as  
16 appropriate. So that it is a whole paradigm rather than a  
17 strict definition of safety.

18           Now, the Agency has consistently applied the  
19 reasonable certainty of no harm standard in determining the  
20 safety of substances formed in or on food as the result of  
21 the use of an animal drug. Dr. Kevin Greenlees earlier this  
22 morning provided an overview of how the Agency applies that  
23 standard to animal drug residues.

24           It is clear, however, that there is a significant  
25 difference between the traditional residue-based

1 determination of the safety of animal drugs intended for  
2 food animal use and the determination of safety in the  
3 context of antimicrobial resistance of resistant pathogens.

4 (Slide.)

5 The former involves the risk of consumption of the  
6 chemical substance formed in or on the food as the residues  
7 of the drug. This risk is not anticipated that it will  
8 change appreciably over time. Safety in the context of  
9 antimicrobial resistance involves assessment of the risk of  
10 a substance, in this case resistant microbes, which may  
11 increase in prevalence over time as a result of the use of  
12 the drug in animals.

13 Now, FDA recognized the difficulties associated  
14 with managing this nontraditional risk. We have been  
15 attempting to do this now for a few years. And we outlined  
16 a mechanism to deal with it. Late last year, the Guidance  
17 for Industry and the Framework Document.

18 In November of 1998, FDA issued guidance for  
19 industry that stated the regulatory system for assessing the  
20 safety of antimicrobial drugs intended for use in food-  
21 producing animals should be modified to address microbial  
22 safety concerns, in addition to the toxicological safety  
23 concerns that we had always addressed. We emphasize that  
24 this included all uses of all classes of antimicrobial and  
25 new animal drugs for use in food-producing animals.

1 (Slide.)

2 Then in December of 1998, we issued a discussion  
3 document which laid out a conceptual risk-based framework  
4 for evaluating microbial safety of antimicrobials intended  
5 for food animals. Implicit in the Framework Document is the  
6 application of the safety standard in a manner that ensures  
7 protection of public health by preserving the effectiveness  
8 of antimicrobial drugs for treating diseases of humans, that  
9 is by assuring that the ability to treat significant  
10 microbial diseases of humans is not lost.

11 Now, in developing this Framework Document, we did  
12 recognize that having a resistant infection in and of itself  
13 may affect human health, even when alternative antimicrobial  
14 therapies are available. And it may be appropriate to  
15 initiate mitigation efforts on the basis of those effects.

16 (Slide.)

17 However, in order to permit the graded level of  
18 regulatory response to the development of resistance that  
19 was outlined or proposed in the Framework Document, we  
20 viewed harm associated with the use of an antimicrobial drug  
21 in food-producing animals as loss of the long-term  
22 availability of safe and effective antimicrobial drugs to  
23 treat human disease.

24 We were pretty explicit about that. Also, I  
25 thought it was interesting this morning that EPA developed a

1 similar definition of harm in their review of the gentamicin  
2 for pesticide use.

3 Now, inherent in this definition is an assessment  
4 of alternative therapies available to treat a particular  
5 disease, alternative therapies to humans. What we did was  
6 make an for assessment of microbial risk through an initial  
7 categorization process which considers the importance of  
8 various drugs or drug classes to the treatment of microbial  
9 disease in humans.

10 FDA felt that it was crucial to first determine  
11 the drug's importance to humans before determining what  
12 effect the development of resistance to that drug from  
13 animal use will have in human health. We fully intend to  
14 expend most of our regulation oversight then on the drugs of  
15 most importance to human health.

16 (Slide.)

17 FDA proposed three categories based on importance  
18 of the produce in human medical therapy. Drugs in Category  
19 1 represent those of highest public health concern. And  
20 that is the only category I am going to mention this  
21 morning.

22 For these drugs, FDA believes that human exposure  
23 to resistant bacteria from animals must be avoided or  
24 extensively minimized to assure that these drugs remain  
25 effective for human medical therapy.

1           Drugs would be placed in Category 1 if they meet  
2 any of the following criteria: if they are essential for  
3 treatment of a serious or life-threatening disease in humans  
4 for which there is no satisfactory alternative therapy, or  
5 important for the treatment of food-borne disease in humans  
6 where resistance to alternative antimicrobial drugs may  
7 limit the therapeutic options, or members of a class of  
8 drugs for which the mechanism of action or the nature of  
9 resistance induction is unique.

10           Resistance to the drug is rare among the human  
11 pathogens and the drug holds potential for long-term therapy  
12 in human medicine.

13           Now, the Agency anticipated that drugs in this  
14 class, in this Category 1 class, could be used for food-  
15 producing animals if controls could be put in place to  
16 ensure little or no resistance transfer from the treated  
17 animals to humans with respect to the human diseases of  
18 concern.

19           And we actually went a bit further and provided  
20 specific examples in the Framework Document to further  
21 illustrate our thinking on the categorization of drugs. For  
22 the quinolones, we considered that was very important for  
23 serious infections caused by multi-drug resistant Salmonella  
24 species where it is resistant to Category 2 drugs or perhaps  
25 another Category 1 drug.

1           At this point in time, we still are not certain  
2 which drugs are going to be in which category. That is  
3 still open for public comment and further work.

4           Quinolones are frequently the primary treatment  
5 for Salmonellosis. And quinolones are also the drugs of  
6 choice in alternative therapies for many life-threatening  
7 resistant gram negative infections.

8           For vancomycin, we considered serious infections  
9 caused by methicillin-resistant Staph. aureus and  
10 ampicillin-resistant enterococci. Vancomycin is really the  
11 only well proven treatment available to treat serious  
12 infections with these organisms.

13           Now, there is quinupristin, dalfopristin or  
14 vancomycin-resistant enterococci. The human drug, Sinersid,  
15 was just recently approved for this use. And Sinersid also  
16 has the unique mechanism of action. So it meets more than  
17 one criteria. Many of these drugs do meet more than one  
18 criteria. And then third generation cephalosporins for  
19 food-borne infections, for example, ceftriaxone for  
20 Salmonella infections in children.

21           (Slide.)

22           We received several comments questioning what  
23 safety standard is relevant to the evaluation of risk from  
24 the resistant microorganisms and we hope to receive  
25 additional input on the issue via the expert panel

1 discussion and also the public comment period this  
2 afternoon.

3           What I have described is our effort to evaluate  
4 risks from the recent pathogens under the Food, Drug, and  
5 Cosmetic Act. And we have been struggling with this issue  
6 for a while. Later in the afternoon, Dr. Thompson will  
7 discuss more how to implement this through the development  
8 of thresholds or other means. But we definitely appreciate  
9 any help that the panel or others could give us.

10           (Applause.)

11           DR. STERNER: I think one more round of applause  
12 for all of our speakers for getting us done ahead of time is  
13 called for.

14           (Applause.)

15           DR. STERNER: Questions for Dr. Tollefson? Yes?

16           DR. CONDON: Linda, this is Robert Condon.

17           DR. TOLLEFSON: Yes, I know.

18           DR. CONDON: Well, I don't know whether you need  
19 it for the record or not. Unless they have changed in the  
20 last couple of years, in case somebody wants to go back and  
21 look at the legislative history, are not antibiotics in CVM  
22 regulated under 512?

23           DR. TOLLEFSON: Yes.

24           DR. CONDON: Rather than 409?

25           DR. TOLLEFSON: Yes, that's what I said.

1 DR. CONDON: And the standards are a little bit  
2 different. And I think one of the main things is that those  
3 512 are safe by all reasonable tests that are applicable.

4 DR. TOLLEFSON: Right, that's fine. I mean, there  
5 is really no definition of safety. Safety is under the  
6 legislative history and the 409 regulations.

7 DR. STERNER: Other questions for Dr. Tollefson?  
8 Well, you are going to get yourself an extended noon hour.  
9 We will begin promptly at 1:00. Thank you for your  
10 attention this morning.

11 (Whereupon, a luncheon recess was taken.)  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

A F T E R N O O N S E S S I O N

(1:00 p.m.)

**PANEL DISCUSSION: HOW SHOULD CVM EVALUATE RISK  
FROM RESISTANT PATHOGENS**

DR. STERNER: We will begin with the session's introductions while people will filter into the room. I tried reading biographical sketches this morning and find those dreadfully boring and they don't really add a whole lot. What I have a lot more fun with is hearing people tell who they are and where they are from.

And I would challenge each of you to tell us one thing about yourself in addition to your professional interests that nobody might have ever guessed about you, just in case somebody wants to strike up a conversation after the panel discussion is over. So we will start with A) Dr. Apley on the end. Would you introduce yourself and give us a small biographical sketch.

DR. APLEY: I am Mike Apley. I am Assistant Professor of Beef-production Medicine, Iowa State University. My advanced training is in clinical pharmacology with my Ph.D. in boards. My interests are risk assessment. I work primarily in feed lot, clinical pharmacology and other animal species. And something nobody knew, semi-serious truck puller.

DR. LIEBERMAN: Well, I think this part has me

1 more intimidated than my comments now. I am Patty Lieberman  
2 from the Center for Science in the Public Interest. I have  
3 been there as a staff scientist for about three years.

4           What people might not know about me is that my  
5 grandpa was a cattle dealer. And my father's biggest client  
6 was a pork producer/processor people. So I think people  
7 would probably not expect that I have some -- although  
8 nothing immediate in my life is revolving in agricultures at  
9 my work, but that I have some background in and appreciate  
10 for it.

11           DR. McCLURE: Hi. My name is Kent McClure. I am  
12 with the Animal Health Institute. I am both a veterinarian  
13 and a lawyer. I practice both veterinary medicine and law.  
14 And I practice law in a regulatory context. As far as  
15 something that someone might not know about me, I enjoy  
16 brewing beer at home.

17           DR. ANGULO: Hi. My name is Fred Angulo. I am  
18 the Chief of the FoodNet and the NARMS activities in the  
19 Food-borne and Diarrheal Diseases Branch at CDC, where I  
20 have been since 1993. And I am the proud father of three  
21 children. And I think that is the thing I am most proud of.

22           DR. MORRIS: I am Glenn Morris. I am on the  
23 faculty as a professor at the University of Maryland School  
24 of Medicine. I am a physician/epidemiologist. I was at  
25 CDC. I spent several years with FSIS with Mike Taylor.

1           And I am now happily back at the university  
2 working primarily in the area of emerging pathogens, with a  
3 particular focus on emergence of multi-resistant pathogens  
4 within a variety of environments. I will follow up with  
5 Fred. I am the father of three daughters. And that alone I  
6 am sure has had a major impact on my psyche, probably more  
7 than anything else in my life.

8           DR. CRAWFORD: Thanks. I am Les Crawford. I am  
9 Director of the Center for Food and Nutrition Policy at  
10 Georgetown University. I used to be at FDA, University of  
11 Georgia and also FSIS and about 12 other places. And I  
12 enjoy drinking beer at home.

13           (Laughter.)

14           DR. McEWEN: I am Scott McEwen and I knew that  
15 sitting beside Lester Crawford was going to be a problem,  
16 trying to follow that act. I am a professor at the  
17 University of Guelph in epidemiology, focusing on food  
18 safety. I have two boys and I like woodworking. I make  
19 Windsor chairs. And like all Canadians, I have built a log  
20 cabin.

21           (Laughter.)

22           DR. STERNER: Kenneth Petersen is not here yet.  
23 When he comes, we will go ahead and pin him with the same  
24 task that the rest of the panel has. I am Keith Sterner,  
25 moderator for the first part of this afternoon's session.

1 I am a graduate of Michigan State University in  
2 1969. After that, I did two years on active duty in the  
3 United States Army Veterinary Corps, served in Seattle,  
4 Washington and Pouson, Korea. And I got an in-country  
5 discharge. And I found that if you go far enough in that  
6 direction, you will come back in that direction.

7 And I took about six months to go around the  
8 world. And due to the vagueness of my discharge papers,  
9 they entitled me to trans-oceanic transportation within one  
10 year of discharge. They failed to specify which ocean. And  
11 it is amazing when you show up at duty stations late enough  
12 on a Friday afternoon what you can get done and hopping  
13 military hops.

14 (Laughter.)

15 I am a co-owner of a ten-person mixed practice  
16 veterinary clinic in the central part of Michigan. We do  
17 all creatures except for horses and have -- and in the  
18 practice that my father started 52 years ago this month as a  
19 matter of fact.

20 And I have been active in organized veterinary  
21 medicine, having served as an Officer in the American  
22 Association of Bovine Practitioners. And I have been active  
23 in the National Mastitis Council and I am the past president  
24 of it, as well.

25 I am currently the Chair of the American

1 Veterinary Medical Association's Council on Education. And  
2 I think I am here because Sharon has a grudge against me. I  
3 serve as the current Chair of VMAC, as well.

4           With those introductions, I would like to go  
5 ahead. And I am going to randomly move through the panel  
6 and ask them to address the questions here. And because  
7 Glenn Morris reminded me that he has real patients to see  
8 this afternoon and may have to leave as the discussion  
9 begins to wind down a bit, he has the prerogative of  
10 speaking first and trying to address these questions that  
11 the panel has been posed. So, Glenn, the floor is yours.

12                           **J. Glenn Morris, Jr., M.D.**

13           DR. MORRIS: I am not sure that this is the  
14 appropriate award here because I am not sure I can  
15 necessarily completely answer these questions. It would  
16 have nice to hear somebody else first. However, I may slip  
17 out here in a minute. It's nothing personal. It's just  
18 unfortunately because of my scheduling. I have attending  
19 responsibilities this month and have patients waiting for  
20 me. So I am going to slip out in a little while.

21           But to deal with the issues that are raised here -  
22 - and, again, as I said, I will admit as to some uncertainty  
23 as to how to address these. The question first is what is  
24 an appropriate risk standard to apply to resistant  
25 pathogens.

1           And I guess as a physician, I struggle with trying  
2 to understand the concept of standards with regard to  
3 resistant pathogens. I am not overly fond of resistant  
4 pathogens. And I would prefer to see the numbers minimized.

5           I recognize from a regulatory standpoint, there is a  
6 need to try to put this in a, you know, better framework.

7           I think if you begin to look at some of the  
8 impacts associated -- human health impacts associated with  
9 resistant pathogens, one of the things that has been  
10 mentioned for Campylobacter resistant to quinolones has been  
11 prolongation of diarrheal illness. I realize that there is  
12 probably of a sense of, oh, okay, so they have a few more  
13 days of diarrhea, so what.

14           I can tell you as a physician who has seen a lot  
15 of patients with Campylobacter infections that a couple of  
16 extra days of diarrhea is not a so what. If you had  
17 Campylobacter, it is a really -- you are pretty sick.  
18 Actually, the sickest patients I see in terms of occurrence  
19 of diarrheal disease are those with Campylobacter. It makes  
20 young adults really sick. And those couple of extra days of  
21 diarrhea are not trivial.

22           Nonetheless, I think there should also be a  
23 recognition that the approach that has been taken in this  
24 risk assessment has not really looked carefully at specific  
25 population breakdowns. And, again, this sort of gets into

1 the question number two.

2 As an epidemiologist, clearly I think what happens  
3 with these types of data is that you are skewed more towards  
4 the high risk populations. Those are the people who come to  
5 see doctors. Those are the people who seek medical therapy.

6 And it is the high risk populations that are at greatest  
7 risk for serious illness.

8 And, again, particularly from my vantage point in  
9 a, you know, large university medical center, the population  
10 that comes to mind most often is the HIV positive patients.

11 Those patients are at clear risk for significant  
12 Campylobacter infections. For those patients, if they come  
13 in with serious illness, we need to be able to use  
14 medication empirically. We need to have a high degree of  
15 confidence that the drug we are using is going to be  
16 efficacious.

17 With the rising rates of resistance to quinolones  
18 of Campylobacter, suddenly what is our first-line drug? We  
19 are beginning to have doubts about it. And so I realize  
20 that this doesn't give a quantitative level, but I will tell  
21 you from a clinical practice standpoint, the fact that we  
22 are beginning to have questions about the ability to use  
23 certain drugs empirically is a fairly significant problem.

24 I think that a second concern that arises in  
25 looking at this is that this model is, if you will, a static

1 model. It recognizes things at one point in time in 1998.  
2 And unfortunately, this is not a static process. And I  
3 think what we are seeing is very much the dynamism of it  
4 which are rising rates of quinolone resistance to  
5 Campylobacter.

6           And I would like somehow to be able to see this  
7 concept of the dynamism of the process incorporated into the  
8 model, both in terms of the dynamism that reflects physician  
9 responses and physician use of drugs which I talked about  
10 earlier, but also the dynamism of, you know, potential  
11 amplification of resistant organisms within animal  
12 populations. It is a dynamic process.

13           So although you are talking about -- I believe the  
14 number was three percent in general population numbers, my  
15 understanding is that those numbers actually probably are  
16 fairly low compared to what is happening in 1999. And so  
17 the dynamic element of this is something that I think has to  
18 be taken into account.

19           And, again, I think there needs to be an ability  
20 to deal with the concept of amplification, that things tend  
21 to get worse fairly rapidly. And my concern in a hospital  
22 setting is that I am seeing things get worse fairly rapidly  
23 with multiple pathogens. And it is very clear to us when we  
24 are dealing with this on the front lines of medicine that we  
25 have a substantive problem across the board with rising

1 resistance rates to all of our pathogens.

2 As has already been noted, there are multiple  
3 factors that drive that process. But I think that dynamic  
4 element needs to be considered when you look at the  
5 standards and the establishments of standards. And I think  
6 I will stop there.

7 DR. STERNER: Dr. Lieberman.

8 **Patricia Lieberman, Ph.D.**

9 DR. LIEBERMAN: Well, I think I jumped the gun  
10 yesterday and stated some of my views. So some of this is  
11 going to be a little repetitive. I would have to say that  
12 consumers feel that the only legal or scientific standards  
13 acceptable is the standard of reasonable certainty of no  
14 harm. And I guess I would have to express some concern  
15 about these -- looking into what other standards are with  
16 the thought that it is possible that CVM is considering  
17 trying to change these standards and how they would go about  
18 doing it.

19 It seems to us that a discussion of it or a  
20 guidance document or the discretion of the FDA Commissioner  
21 would not be an acceptable way to do that if that is what is  
22 going to happen and that that would have to be done either  
23 by rule-making or by Congress.

24 As to the appropriate populations on which to base  
25 the standards, I think we need to take into account the most

1 susceptible members of the population, not the entire  
2 population of the United States, but thinking about  
3 children, the elderly and immunocompromised people for whom  
4 the disease is more likely to be harmful and, in fact, is  
5 more likely. And I think Dr. Morris already spoke to that.

6           With the issue of children, it seems like at this  
7 point, treatment with fluoroquinolone -- that the risk  
8 assessment undertaken by CVM which looks at fluoroquinolones  
9 wouldn't consider children at higher risk. But I think we  
10 need to keep in mind what I don't remember who said about  
11 how it seems likely that fluoroquinolones will be used in  
12 children whether or not they are approved for use and if  
13 they will in the future be approved for use in children.

14           I think it is very important that the threshold  
15 should be set to identify problems before people have been  
16 harmed, preferably looking at resistance in the livestock  
17 and also taking into account not just full-blown resistance,  
18 but decreases in susceptibility. And those should be dealt  
19 with as the early warnings which would necessitate  
20 mitigation strategies.

21           I have a few other comments that I guess I will  
22 make now. I guess they could also be done during the public  
23 comments. But about the issue of if this process is  
24 supposed to be transparent, what does that mean. What is  
25 the impact of having a public meeting when we have no real

1 sense of how this information is going to be used and how  
2 the people who are the decision-makers -- you know, whether  
3 it is just so that we can vent our feelings to you and so  
4 you can say, "We listened", or whether or not these -- our  
5 input is shaping the decisions that are going to be made.

6 In other words, have we gotten riled up for  
7 nothing? And I have only been in this field for a little  
8 while. But I feel like I have done this a lot already which  
9 is okay I guess. But it is hard to tell the impact of it.

10 And with this particular risk assessment on  
11 fluoroquinolones, now that there has been this risk  
12 assessment that shows there has been harm to humans, what is  
13 going to be done about? How can the use remain permissible?

14 And looking at how other regulators look at risk,  
15 seeing how the people who regulate food additives, if they  
16 had a food additive that harmed about 5,000 people a year,  
17 would they feel that they had to take action? And how does  
18 the situation differ from that of a food additive because  
19 the prevalence of resistant Campylobacter is likely to  
20 increase and fluoroquinolone-resistant Salmonella are  
21 beginning to emerge?

22 So those are some things that have me concerned  
23 about the process. And I guess I will stop.

24 DR. STERNER: Dr. Crawford.

25 **Dr. Lester Crawford**

1 DR. CRAWFORD: Thank you. I am going to address  
2 most of my remarks -- I will cover these three subjects.  
3 But the framework I will use will be the concept of  
4 threshold. As one who was involved in earlier initiatives  
5 with respect to antibiotic resistance at CVM and elsewhere,  
6 I think this is a concept that we could certainly have used  
7 in dealing with those problems. And am thinking primarily  
8 of penicillin and tetracycline.

9 I believe that the risk assessment is obviously  
10 well done and it is an enormously good tool for dealing with  
11 this. And it leads then naturally into what I will say  
12 about thresholds.

13 I believe they must be based in regulation and not  
14 in a gentlemen's agreement. I mentioned earlier the  
15 Regulatory Improvement Act of 1999. And when I testified on  
16 that, I mentioned this particular aspect.

17 The second thing is that products that are known  
18 to rapidly engender resistance or that are known to have  
19 dangerous cross-resistance profiles should not be eligible  
20 for approval. And I believe the Framework Document  
21 addresses that quite adequately.

22 Presumably, these would wash-out in the pre-  
23 approval risk assessment process. And whoever made the  
24 comment earlier about pre-approval risk assessment I think  
25 was right on target.

1           Thirdly, I think that post-approval monitoring  
2 should be performed for all approved antibiotics from animal  
3 isolates. I recognize that human isolates would help to  
4 some extent. But animal isolates are primarily within CVM's  
5 purview. And so that should be sufficient.

6           I think they should be tailored, the thresholds,  
7 for each antibiotic, but consistent in magnitude and based  
8 on the minimum inhibitory concentration of the target  
9 organisms. For example, when ten percent of isolates from  
10 human and veterinary isolates require a significant  
11 concentration increase over the pre-approval level, action  
12 should be taken. The question is what is the action. And  
13 that is the \$64,000.00 question. Something like a  
14 moratorium with the approval still in place might be a good  
15 idea.

16           We were -- we actually did discuss this in the  
17 London Conference on Antibiotic Resistance in 1981 where we  
18 presented university figures from the University of Georgia  
19 which show that over the many years of use in the veterinary  
20 teaching hospital there, that we had a natural selection  
21 process for antibiotics because when an antibiotic -- when  
22 bacteria became so resistant to certain antibiotics that  
23 clinicians stopped ordering those from the pharmacy.

24           So over a period of time, their resistance  
25 profiles declined and susceptibility improved. And I

1 thought it was a very powerful testimony based on fact and  
2 based on thousands and thousands of isolates.  
3 Unfortunately, we did not translate that into regulatory  
4 action. But it was nonetheless interesting.

5           And I think that if a moratorium or some sort of  
6 ameliorating action is initiated, I think that monitoring  
7 should continue. And if there is no improvement, then  
8 perhaps the moratorium or whatever the remedial action is  
9 should continue.

10           And I also think that you have to be very careful  
11 -- and I notice you've got a legal question here which I am  
12 not qualified to answer. But I think you have to be very  
13 careful about due process. And you need to have a carefully  
14 articulated position on what happens to administrative  
15 hearings, whether these would be truncated, abbreviated or  
16 obviated. And I would recommend some of all three in  
17 closing.

18           DR. STERNER: Thank you, Lester. Kent McClure.

19                   **Kent McClure, Esquire, D.V.M.**

20           DR. McCLURE: Thank you. First of all, I want to  
21 say that I am very happy to be here today. I believe  
22 antibiotic resistance is an important issue that needs  
23 addressing. And we are happy to have input on the process  
24 with respect to this panel. We have had frankly little time  
25 to review the risk assessment. And we intend to comment on

1 it, analyze it and do that in detail. And we will provide  
2 further comments later.

3           But I do want to say that one thing that I think  
4 has been missed from looking through the -- just a  
5 preliminary look through the document was everything was  
6 stated in a negative sense. And if you flip it around, one  
7 thing that struck me was that you can say that for the  
8 average U.S. citizen, there was greater than a 99.99 percent  
9 probability that they would be unaffected by a resistant  
10 *Campylobacteriosis*. And I think that has to be kept in mind  
11 when you discuss what standards should apply and how we  
12 should implement them.

13           I am going to try to talk just a second about the  
14 legal standard. It is impossible in this context in this  
15 time period to have a thorough analysis of it. But I do  
16 want to kind of just give a few thoughts on it. One is --  
17 and I will do a nutshell answer first. And that is that the  
18 Federal Food, Drug and Cosmetic Act in this context does not  
19 mandate any standard other than safe.

20           The statute requires that a new animal drug be  
21 shown to be safe. Safe is defined as referring to the  
22 health of animal or man. The statute gives no further  
23 guidance on the standard.

24           The statute does provide some factors that have to  
25 be considered. But it doesn't give you a standard to weigh

1 those factors against. And there is a big difference in  
2 articulating factors to consider and then articulating the  
3 standard that you weigh them against. They are not the same  
4 thing.

5 In this context, the regulations promulgated by  
6 the FDA parallel the statute. They provide factors to  
7 consider, but no standard. In court cases in which the FDA  
8 has been a party in this context -- and that is, this  
9 context is the approval of new animal drugs in a food-  
10 producing species -- the FDA has not argued that any  
11 regulation they have promulgated sets a safety standard.

12 The Federal Courts that have then tried to  
13 determine what is the safety standard that applies have held  
14 that there is not one. A quote from one of the Courts that  
15 considered it is that, "The Food, Drug and Cosmetic Act does  
16 not indicate the standard an applicant must meet to  
17 demonstrate a new drug safety or the evidence upon which the  
18 FDA must base its safety determination." That was a new  
19 animal drug in a food-producing species -- that case  
20 involved that.

21 There are several other points that Federal Courts  
22 have made that are of interest to this discussion. One of  
23 them is that the D.C. Court of Appeals has at least twice  
24 rejected the Agency's argument that the legislative history  
25 behind the Animal Drug Amendments of 1968 set the particular

1 standard that must be used to evaluate the safety of new  
2 animal drug in a food-producing species.

3           The D.C. Court of Appeals has also held that a  
4 risk benefit analysis is inherent in the process of safety  
5 evaluation in new animal drugs for food-producing species.  
6 Now, we have heard some talk today about how you can have  
7 only a risk-oriented standard.

8           The D.C. Court of Appeals has remanded cases back  
9 to the Agency for further consideration when that standard  
10 has been applied. That is not one that should pass the D.C.  
11 Court of Appeals.

12           And finally, I would say that one thing that is  
13 evident when you gather the court cases that deal with  
14 safety standards in this context, conspicuously absent from  
15 those decisions is a discussion of reasonable certain of no  
16 harm. You will not find it mentioned in any of them.

17           The take-away message from that is that the Agency  
18 has flexibility. They have the flexibility to craft a  
19 solution that is to a unique situation, that is workable,  
20 reasonable and protects public health.

21           Like I said, there is a whole lot more to that  
22 analysis than what I just articulated. But for the sake of  
23 time, I am going to move on. The -- I want to say that we  
24 agree with CVM that there are significant differences  
25 between residue-based issues and resistant-based issues.

1           Attempting to regulate resistance in the context  
2 of residues is like trying to put a square peg in a round  
3 hole. And that is part of the reason why we have had so  
4 much discussion over this and why CVM has had to struggle  
5 with this.

6           The USDA and the FDA both have standards -- or not  
7 standards, but regulations that deal with pathogens or can  
8 be interpreted to cover them. And it is imperative that  
9 they be the same. The USDA standard we believe is the most  
10 appropriate. It takes into account the HACCP Program of  
11 pathogen reduction and the fact that raw meat and poultry is  
12 intended to be cooked prior to consumption. As discussed  
13 earlier, food packaging and labeling includes warnings about  
14 how to handle food and cooking.

15           The USDA standard revolves around the quantity of  
16 pathogen that is present. The Poultry Inspection Act and  
17 the Meat Inspection Acts do not consider a pathogen,  
18 resistant or otherwise, to make a carcass adulterated if the  
19 quantity does not ordinarily render it injurious to health.

20           And this standard needs to be explored by the FDA in  
21 cooperation with the USDA. And there is a huge reason for  
22 that.

23           And that is that you have almost identical  
24 language -- and if I had it before me to compare, I might  
25 say it was identical, but I would have to have it before me

1 to do it -- on that particular standard. And what I am  
2 talking about is whether or not you consider a resistant  
3 pathogen to be an added substance or a -- or just a  
4 substance.

5           And you can't have identical language in two  
6 different regulations in the Code of Federal Regulations  
7 that is interpreted differently by the Courts, even though  
8 it comes from different agencies. A Federal Court does not  
9 say in this context, this word means this and in the same  
10 context with a different agency, this word in the same  
11 sentence means something different. It doesn't happen.

12           If you define a resistant pathogen to be an added  
13 substance, then every carcass that has a resistant pathogen  
14 on it is adulterated. And you can't define it one way in  
15 one place and a different way in another place in the same  
16 regulatory scheme on the same stuff when you use the same  
17 language. That won't fly. Legally that won't fly, at least  
18 that is my opinion.

19           The other thing that I think is important to note  
20 here is that it is important to ask where in the process is  
21 the standard applied. We have heard a lot about the risk  
22 assessment and the thresholds and things like that and post-  
23 monitoring surveillance. I want to say first of all that  
24 AHI has been in favor of risk assessments. We have helped  
25 fund one, not this particular one, but another one. And so

1 we are glad to see them done.

2 We are also in favor of post-approval monitoring.

3 We have applauded the NARMS program. However, it would be  
4 wrong and not legally justified to hold the drug approval  
5 process hostage to post-approval activities.

6 We talk about setting thresholds. You know, if  
7 you went back several years in time and you said let's set a  
8 threshold for fluoroquinolone with Campylobacter, you  
9 wouldn't have even foreseen that as being a problem. I  
10 guess my point is that would have never even come into the  
11 mix because it wouldn't have been considered.

12 And so when you sit down with a new drug, it is  
13 impossible that you can have all the areas ahead of time to  
14 know what you are going to consider. And so to require a  
15 manufacture to agree up front to withdraw a product from the  
16 market or do whatever simply because some arbitrary  
17 threshold is crossed, 1) is not supported by the Act, and 2)  
18 doesn't make sense.

19 The Federal Food, Drug and Cosmetic Act has  
20 provisions within for removing products from the market. In  
21 fact, most of the time when there is a legitimate problem  
22 with products, the manufacture and the Agency work together  
23 on a solution. But if they can't come to a solution, then  
24 the Act has provisions for removal of product from the  
25 marketplace.

1           It is not -- I guess what the context is, is that  
2 it would not be right for the Agency to circumvent the  
3 provisions of the Act through the standard, itself. The  
4 bottom line is that the standard for regulation has to be  
5 coordinated with the USDA. You can't do it in a vacuum as  
6 we have heard many times.

7           The Agency does have tremendous flexibility in  
8 dealing with this situation. And we would say that the  
9 approval process and post-surveillance monitoring are  
10 distinct activities. You can have them both going forward  
11 at the same time. You can monitor products and take action  
12 on what happens.

13           And I guess rather than going on and rambling, I  
14 will conclude with that. I will just say that we look  
15 forward to an ongoing discussion on the topic and working  
16 further with CVM. Thank you.

17           DR. STERNER: Thank you. Fred.

18                           **Dr. Fred Angulo**

19           DR. ANGULO: I think, as many of you know, CDC is  
20 a non-regulatory agency with the mission of identifying  
21 risks and working with partnerships to try to mitigate those  
22 risks. And I think it is a matter of public record that CDC  
23 identified the potential risk of fluoroquinolone-resistant  
24 Campylobacter prior to the approval of fluoroquinolones in  
25 poultry. In fact, it is a matter of public record that we

1 advised against -- or, that's too strong, that we had  
2 concerns about such an approval.

3           Nonetheless, I don't mean to go back there again,  
4 but that is in contrast to what Kent just mentioned.

5 Nonetheless, I did want to comment perhaps on some of the  
6 questions that were raised. The first question about the  
7 appropriate risk standard to apply to resistant pathogens, I  
8 think the question really means to ask the appropriate risk  
9 standard to apply to resistant pathogens which result from  
10 the use of antimicrobials in food animals, or what is the  
11 appropriate risk standard to apply to the use of  
12 antimicrobials in food animals.

13           Without commenting on the current statute or  
14 policy, the risk of adverse human health consequences due to  
15 the risk of antimicrobials in food animals should be managed  
16 based on the best available data, for example, the current  
17 risk assessment, and should protect the public from harm.

18           Several governmental agencies manage and regulate  
19 the risk of food-borne diseases. In particular, the USDA  
20 Food Safety Inspection Service manages the risk of food-  
21 borne diseases from meat and poultry. The FDA's Center for  
22 Veterinary Medicine manages the incremental risk or the  
23 increased risk of food-borne diseases which are resistant to  
24 antibiotics as a consequence of antibiotic use in food  
25 animals.

1           Therefore, FSIS manages the risk of Campylobacter  
2 infections from poultry and CVM manages the incremental risk  
3 of fluoroquinolone-resistant Campylobacter from poultry.  
4 Therefore, because FSIS is already managing the risk of a  
5 person in the general population getting a Campylobacter  
6 infection, the appropriate population on which to manage the  
7 risk for -- we would say for FDA CVM is the incremental risk  
8 of fluoroquinolone-resistant Campylobacter from poultry as a  
9 consequence of fluoroquinolone use in poultry.

10           And it should be managed -- the appropriate  
11 population should be for those persons with Campylobacter  
12 infections. However, this risk management should consider  
13 all the potential outcomes due to that resistance. For  
14 example, the risk assessment should consider all the  
15 potential outcomes of fluoroquinolone-resistant  
16 Campylobacter which arises as a consequence of  
17 fluoroquinolone use in poultry.

18           This risk assessment only considers the outcome of  
19 persons who are ill enough to seek care, receive an  
20 antibiotic and are prescribed fluoroquinolone. I am sure  
21 many of you recognize the logic error that this is a self-  
22 mitigating risk assessment because if resistance to  
23 Campylobacter emerges to such an extent, physicians will  
24 stop using fluoroquinolones. And so, therefore, when the  
25 usage of fluoroquinolones reaches zero, the harm is zero.

1           So it is a self-mitigating model and will self-  
2 mitigate taken to its extreme. So that is a concern with  
3 the current model.

4           But in terms of setting then the population, we  
5 would say that the population that should -- that -- on  
6 which to base a standard, although I don't mean this to be a  
7 legal statement -- but the population to base the standard  
8 should be people with Campylobacter as the denominator and  
9 people with fluoroquinolone-resistant Campylobacter which  
10 arises from the use of fluoroquinolone-resistant --  
11 fluoroquinolone use in poultry as the numerator.

12           And if your outcome is people who seek care and  
13 receive a fluoroquinolone when they seek care, they can be  
14 the denominator and the numerator should be amongst those  
15 groups, how many of them have a fluoroquinolone-resistant  
16 infection as a consequence of fluoroquinolone use in  
17 poultry.

18           Regardless of what population is selected, the  
19 public should not be harmed by the use of antibiotics in  
20 food animals. Finally, we caution that to prevent this harm  
21 in Salmonella infections, conservative thresholds should be  
22 established. Even modest harm with Campylobacter, which  
23 this risk assessment clearly demonstrates is now occurring  
24 in the United States, even modest harm with Campylobacter is  
25 a sentinel event indicating the potential for much greater

1 harm when Salmonella becomes fluoroquinolone-resistant.

2 DR. STERNER: Does that conclude your comments for  
3 now?

4 DR. ANGULO: It does.

5 DR. STERNER: Okay. Scott.

6 **Dr. Scott McEwen**

7 DR. McEWEN: Thanks very much. I would just like  
8 to say at the outset that I -- as a foreigner, it makes me a  
9 little nervous to talk about U.S. regulatory matters. So I  
10 would just like to acknowledge that. And the comments I  
11 make are made with respect and I hope no one takes offense.

12 I think in terms of the appropriate risk standard,  
13 I think, obviously, that those should be quantitative where  
14 possible and using good quantitative risk assessment  
15 methods. And the outcome should be public health. That  
16 could be sort of -- that could come back to thresholds at an  
17 earlier phase in the production cycle if appropriate. But  
18 it should relate quantitatively ideally to a public health  
19 outcome.

20 Again, ideally I think it all hinges on the  
21 adverse effect in humans attributable to the use of the drug  
22 in question in approved species. And I think it should be  
23 drug-specific and organism-specific where possible. And I  
24 think that should include the treatment and failure issue as  
25 well as the issue of pre-existing drug use being a risk

1 factor for infection, pathogen load in terms of spread  
2 within an animal species and the concentration on food  
3 products, the altered virulence question that is out there.

4           And it should include resistant, food-borne  
5 pathogens as well as commensals in the treating transfer  
6 issue, so the whole thing. Now, I realize that  
7 pragmatically, it is probably necessary to back off that and  
8 focus on specific aspects. But I think that is a regulatory  
9 political sort of decision based on priority setting and  
10 that sort of thing.

11           But they emphasize that it should be the portion  
12 attributable to the use of the drug in animals. And for  
13 that, I think we could look to some other examples of risks  
14 in other food safety applications. And I think we have two  
15 main sort of classifications, the naturally-occurring  
16 hazards that are already in existence including things like  
17 0157:H7, Salmonella enteritidis, natural sex hormones in a  
18 sense.

19           And for those, we have a kind of background level  
20 that is out there. They are already in place to some  
21 extent. And we heard this morning in the water area, that  
22 EPA is using a one in 10,000 yearly risk of enteric disease,  
23 so that sort of background level of pathogen.

24           And we've got -- the other class is sort of --  
25 well, it would be called the technology-created hazards. It

1 would be things like antibiotic drugs, hormones, for  
2 example. I think I put drug-resistant organisms in that  
3 category. So we are in a sense creating these, not to be  
4 sort of inflammatory about it. But there is no in a sense  
5 natural background level.

6           Maybe you could argue that there -- just to sort  
7 of back off from that, that if the drug has been used  
8 already a lot in human medicine and we do have a background  
9 degree of resistance. And that could be sort of factored  
10 into this. But I guess in the case of food-borne pathogens,  
11 Salmonella and Campylobacter, we tend to think that  
12 resistance arises from drug use in agriculture. So that's  
13 maybe a moot point.

14           I think we have to consider the adverse effect,  
15 both in terms of morbidity and mortality. In the case of  
16 mortality, we have examples in carcinogenicity and so on of  
17 an estimated risk of one in a million being acceptable. And  
18 this is sort of targeting the discussion on acceptable  
19 levels.

20           And I think we could look at translating not to  
21 infectious agents. You will recall that National Academy in  
22 the '80s looked at this for infectious disease. I forget  
23 the number. We would have to ask the statisticians. But it  
24 could translate the one in a million to the annual risk of  
25 fatality or daily or something of that particular sort.

1 I think the morbidity question is a lot more  
2 problematic. And I think anything -- allowing anything more  
3 than one case is in a sense an implicit acknowledgement that  
4 we are balancing risks and benefits. I think the unique  
5 situation with the microorganisms as opposed to the  
6 xenobiotic drugs and so on is we have actual cases. They  
7 are kind of interfaced and being diagnosed. And it is not  
8 some sort of esoteric theoretical sort of risk calculation.

9 So I am in favor of balancing risks and benefits.  
10 And I think in western democracies, we do that all the  
11 time. We should have a mechanism for allowing that.

12 In terms of setting the allowable levels, we heard  
13 from Dick Whiting I think this morning that that is not  
14 being done yet in the naturally-occurring organisms. I  
15 think it might be naive to suggest it, but maybe it is time  
16 for an open symposium to try and nail down some figures for  
17 that in terms of acceptable levels of morbidity for food-  
18 borne pathogens.

19 I think this morning I was trying to think of a  
20 corollary to the antibiotic-resistant organisms with drug  
21 use in animals. But I couldn't think of another example. I  
22 think it is very unique in a sense that -- and without being  
23 an alarmist, we are almost creating a new type of organism  
24 from using a technology in an area that is not primarily  
25 intended to enhance public health. And so that creates some

1 new difficulties.

2 I think there are figures out there of something  
3 like 78,000 people. They put variability on that figure, I  
4 think. But people dying as a result of mistakes in the  
5 health care system. And I think we would all agree that  
6 that is too high.

7 But, again, some of that is probably a result of  
8 treating people, for example, for life-threatening  
9 conditions and you are going to acknowledge that there is  
10 some risk to that. Again, we are trading off public health  
11 risks, not public -- one public health risk and another type  
12 of benefit.

13 I think in terms of looking at morbidity, we also  
14 have to scale in different types of morbidity in terms of  
15 severity perhaps, transient diarrhea at one level, pain  
16 being factored in there, long-term organ dysfunction or  
17 failure is another one and so on. So there has to be a kind  
18 of weighting of degrees of morbidity.

19 In terms of the appropriate population, I think  
20 obviously we have got to look at both general and high risk  
21 groups. And I think whether you go for something that has  
22 been used elsewhere and say that to protect a ninety-eighth  
23 percentile in either group, I think -- which is again an  
24 implicit sort of trade off of risk and benefit, I think we  
25 have to -- that would be a function of the costs of having a

1 high standard.

2           One form of that cost could be whether or not that  
3 new drug approvals actually have taken place. I think look  
4 to the residue situation for example. You are going to have  
5 a very high standard there of safety.

6           And one of the reasons we can do that is that it  
7 seems that the industry, animal industry, and the drug  
8 industries can actually live with that. It is not sort of  
9 ruling out drugs that -- maybe it has some, but not too many  
10 that I know of. But if we do that for microbial resistance  
11 risks, it might be a lot more difficult.

12           In terms of the legal standard, again, I don't  
13 have any real comments on that. And I think it is the same  
14 in my country and others, I think that this business of  
15 public health agencies being only able to look at harm and  
16 safety and not the sort of benefits is a problem that we --  
17 that nations have to get around. We have to be able to  
18 weigh in explicitly I think the benefits somehow. Thank  
19 you.

20           DR. STERNER: And last but by no means least, Dr.  
21 Apley.

22   **Michael Apley, Ph.D.**

23           DR. APLEY: I think the Chief put me here just so  
24 I couldn't have a piece of candy in this whole deal.

25   (Laughter.)

1           Well, when this first came up and Lyle Vogel said,  
2 "Well, why don't you talk up there?" And I said, "Well, I  
3 don't know anything about risk assessments." And I think as  
4 someone pointed out, that doesn't stop you from talking  
5 about cattle and pharmacology, so why don't you get up  
6 there.

7           But, you know, what that brings up is that I don't  
8 think you have to be an risk assessment expert to have a  
9 real meaningful part in this whole process. And AVMA would  
10 like to commend -- those of here today would like to commend  
11 the FDA CVM for the process and bringing people in. And we  
12 are glad to be here. And we appreciate the ability to  
13 comment.

14           But you don't have to be an expert in mathematics  
15 to have a lot of input onto things. And the comment about  
16 the importance of the process, it really dawned on me these  
17 last two days is very similar to our decision system as we  
18 go around coming up with pharmacokinetic, pharmacodynamic  
19 susceptibility data to work on that project. You end up  
20 finding a lot of holes that you thought somebody knew that.

21           You thought we were doing something because somebody knew  
22 it. And I think we are finding out through a lot of things  
23 we didn't.

24           The AVMA has been involved in animal welfare and  
25 human health for about 130 years. Again, we commend the FDA

1 CVM for the work completed on this risk assessment. And we  
2 would like to thank Dr. Sundlof and Dr. Bell and Dr. Sharon  
3 Thompson has also attended some, the Steering Committee for  
4 Judicious Therapeutic Antimicrobial Use. This dialogue has  
5 meant a lot to us on this issue, talking back and forth.

6 I think one of the things all the stakeholders  
7 have to avoid on this is the drunk and the lamp post  
8 syndrome where we use the process and the data for support  
9 rather than illumination. And I think we are on the way  
10 there with the dialogues that we are having.

11 I think of the groups represented at this meeting,  
12 there is a reason so many veterinarians are here. And that  
13 is because we are uniquely prepared to address most of these  
14 issues. And we are responsible for both human and animal  
15 health in our daily activities. And we are the people on  
16 the front lines for the antimicrobial use decisions being  
17 discussed here and made.

18 We will be submitting more detailed written  
19 comments later, but we wanted to take a shot at addressing  
20 the three main questions and a couple of other comments.  
21 What is an appropriate risk standard to apply to resistant  
22 pathogens? Well, we are concerned that the assessment of  
23 fluoroquinolone use in poultry based only on possible  
24 adverse effects on human health is incomplete.

25 There has been significant process on this issue

1 through the risk assessment presented at this meeting.  
2 However, there is a risk not being evaluated. And that is  
3 the risk of harm from increased disease and impaired health  
4 of animals going to slaughter.

5           Whether or not you personally believe that an  
6 adverse event could occur due to the withdrawal of a drug  
7 from food animals doesn't matter at this point. The  
8 important concept is that pathogen load of target or other  
9 organisms could be either increased or decreased by  
10 withdrawal of the drug.

11           The assumption that only good can result from  
12 withdrawal of an antimicrobial from use in food animals is  
13 unfounded and is a dangerous precedent on which to proceed.

14       Dr. Shaub this morning represented a refreshing concept in  
15 addressing water treatment to control Cryptosporidium. In  
16 addressing the Crypto. contamination of drinking water,  
17 could another hazard be created and what are the risks  
18 associated with that hazard?

19           I also noted a particularly appropriate statement  
20 by Dr. Morris this morning. "Don't forget the long-term,  
21 downstream sequelae of the lack of an appropriate first-line  
22 therapy." We should remember that enterofloxacin is an  
23 effective, improved therapy for colibacillosis in chickens.

24       Removal of this agent would require the extra label use of  
25 antimicrobials to address this issue. We would move from a

1 labeled drug to the uncertainties of extra label use or to  
2 no therapy at all.

3           It should be remembered that there is an economic  
4 disincentive to use this compound in chickens which balances  
5 any desire that would be present to use it is a  
6 precautionary measure. And I would point out that we were  
7 HMO before HMO was cool.

8           We should also keep in mind that in some hospital  
9 studies, we see a dramatic decrease in resistance to the  
10 drug that is pulled from the formulary or an example of the  
11 Danish data with erythromycin with streptococci that after  
12 several years, the resistance level declined.

13           But some of these studies also report -- not  
14 necessarily that one, but some of the hospital studies I  
15 have reviewed -- an increase in resistance to the drug that  
16 was put in its place. So while we may focus on the drug  
17 that is taken away, what will happen with others that are  
18 then needed to be used in its place.

19           And Dr. Hueston in his presentation addressed  
20 these issues in point number six which was you can't do a  
21 risk assessment in a vacuum. And we fully realize the need  
22 to narrow down the risk assessment which is valid to as  
23 little variation as possible or as little complicating  
24 factors. But then we have to put that back into the larger  
25 picture.

1           What is the appropriate population on which to  
2 base a standard? Singling out one population or sub-  
3 population to me gives the impression that one population  
4 provides a more accurate estimate than another which we are  
5 not aware of. The percentage of the population that  
6 actually consumes poultry products should be taken into  
7 account in estimating the affected cases.

8           And I think an approach that is relevant to this  
9 was illustrated, again, by the EPA this morning and the  
10 strategy of evaluating the risk of the entire population  
11 that could be affected and then also considering groups with  
12 special attributes. I think what we come down to is the  
13 fact that we are going to look at all these groups and  
14 evaluate them individually. So I don't see the need to just  
15 say this one is it.

16           What is the appropriate legal standard to apply to  
17 the evaluation of resistance pathogens? I had that exact  
18 same thing, all those things fixed up there to refer to and  
19 I left them at home.

20           (Laughter.)

21           Taken at the expense of Dr. McClure there. I have  
22 got about this much on that. We don't believe the food  
23 additive standard for reasonable certainty of no harm was  
24 conceived with a concept of the complexity of microbial  
25 issues in mind. I think we are into a new area that is much

1 more complex than that was originally conceived to address.

2           Again, Dr. Shaub from the EPA has recognized --  
3 said they recognize the futility of a zero risk approach in  
4 the areas they regulate. And their areas are similar and  
5 they involve complex interactions of source, environment,  
6 exposure and individual susceptibility.

7           I would have a couple of other things that I --  
8 that also illustrate some cautions. In looking at  
9 attributing all fluoroquinolone resistance in Campylobacter  
10 to poultry, it does make the model more convenient and is an  
11 assumption that it is based on. In looking at that, one of  
12 the things that is said is the assumption that no  
13 fluoroquinolones were used in food animals prior to  
14 fluoroquinolone approvals in poultry. And that is  
15 incorrect.

16           Until the FDA CVM ban on extra label use in food  
17 animals, extra label use of fluoroquinolones in food animals  
18 was allowed under Compliance Policy Guideline 712506. And I  
19 think I have that number correct. While this does nothing  
20 to quantitative the use and does not propose that its use  
21 was widespread, it does point out that using the argument  
22 that no fluoroquinolones were used in food animals prior to  
23 poultry as a support for the assumption that the all  
24 resistant Campy comes from poultry is unfounded.

25           The issue becomes balancing between simplifying a

1 model and weighing some of those assumptions. So that is  
2 just a point I wanted to bring forward on that.

3           And the other thing I have noticed today, and this  
4 comes sitting there looking at it from the angle of a  
5 pharmacologist, is hearing speculation on potential links  
6 between virulence and susceptibility, I am not aware of  
7 concrete links.

8           And I luckily have a graduate student sitting back  
9 at Iowa State, affectionately referred to as the "Web  
10 Goddess" who I called up and sicked Virginia on this. And  
11 she came up with about 400 articles and searched through.  
12 And we found one with some type of link between -- or they  
13 thought potentially in an organism between susceptibility  
14 states and perhaps its ability to survive out in flora. And  
15 then we saw two others that were related to penicillin  
16 therapy of pneumococci that showed no correlation on the  
17 virulence.

18           So I am not saying that the data isn't maybe out  
19 there being developed. But as we talk about risk  
20 communication, I would caution us to some things like that  
21 as we speculate on whether or not it is true, or that maybe  
22 data gives a preliminary idea that that could be true, that  
23 we are very cautious in stating that because that is a --  
24 coming from a pharmacologist's point of view again, to link  
25 virulence with changes in susceptibility is a tremendous



1 (Laughter.)

2 And I think that in all candor when you are  
3 looking at your risk model and risk assessment, there comes  
4 a point at which there are individuals who will no matter  
5 what -- no matter what efforts you make at protecting them  
6 from themselves, they will bring great harm to themselves  
7 and others around them.

8 And that gets back to Dr. Hueston's eloquent  
9 comments this morning about the need for a cost benefit  
10 analysis when you take a look at this. And I would echo Dr.  
11 Apley's comments that I always remind veterinary students  
12 who ride with us, "You have corrected one problem. And you  
13 may have created an entirely worse set of problems as a  
14 result of your corrections."

15 So -- and I realize that there is a limit to which  
16 you can do this. You are charged with enforcing the law and  
17 I don't envy you the task. You have done a yeoman's job  
18 thus far in trying to get us to some point that we all can  
19 live with it. With that, the panel is open to questions  
20 from the audience. If you would step to the microphone,  
21 identify yourself and ask the question, we will do the best  
22 we can to respond.

23 **QUESTIONS/COMMENTS TO THE PANEL**

24 DR. STERNER: Bob.

25 DR. CONDON: Robert Condon again. I am just

1 amazed sitting here and it just reminds me of a group of  
2 blind people grabbing a hold of the elephant, trying to  
3 describe what is going on. And I think maybe the best thing  
4 CVM can do with this whole process is to sit down and  
5 describe what is the legal criteria.

6           It is not 409. It is not adulteration. It is  
7 512, okay. And 512 is basically all tests reasonably  
8 applicable. It is any substance formed in or on food. So  
9 the question there is Campylobacter resistance, is that a  
10 substance formed on food due to the use of the product.

11           I am not sure of the legal definition, if a  
12 bacteria is a substance. But that is the basis.  
13 Unfortunately, the adulteration issue is different between  
14 FSIS and FDA. FDA has a very easy standard. The food is  
15 deemed, deemed adulterated if it bears or contains an  
16 unapproved new animal drug. We don't have to show any harm.  
17 We don't have to show anything. Only that the substance is  
18 present.

19           Under adulteration under the food additives in  
20 USDA, you have other standards you have to meet. It is  
21 different. So that is -- the same thing, adulteration of  
22 the food, is different depending on which standard you do  
23 it.

24           And I think to make progress on the risk  
25 assessment, we need to define what standards we are working

1 with and make that clear. Just what do we have control  
2 over? What can be regulated? Because it is just everybody  
3 has their own idea and everybody is using their own  
4 standard.

5           Is it uncertainty of harm? Is it, you know, if  
6 you demonstrated there actually is harm? There are all  
7 different kinds of standards for food safety.  
8 Unfortunately, CVM can't choose which one it wants to use.  
9 These are animal drugs. It is 512. And I think it might be  
10 very helpful of CVM would lay that out right up front.  
11 Maybe you might have to do a little work on it.

12           But this is the standard. And then you can start  
13 looking to see how things are going to fit into that  
14 standard because you may arrive at different conclusions  
15 depending on the standard. So I think there is a lot of  
16 people's interpretation. And that is something we need to  
17 get squared away right at the beginning.

18           DR. STERNER: I'm not sure we have any panel  
19 members really qualified to respond to that, Robert?

20           DR. CRAWFORD: I am not qualified, but I will  
21 respond.

22           (Laughter.)

23           Well, I have known and admired Dr. Condon for over  
24 45 years. But I think, Bob, I am sure you are not saying  
25 that just because we are not gifted in the law that we

1 shouldn't be addressing the problem of antibiotic  
2 resistance. And unless you are saying that, you should sit  
3 down and not say anything else.

4 (Laughter.)

5 DR. CONDON: No, it's not -- but it is what the  
6 standard -- do you use the standard of, you know, is there  
7 harm? Is it the standard that keeps showing that there is  
8 no likelihood of harm?

9 DR. CRAWFORD: Yes, but give us a break. I mean,  
10 we were asked to come up here and comment on the thing  
11 without worrying about having a lawyer sitting on each  
12 shoulder. So we don't need that.

13 DR. CONDON: No, it is important. Okay, because  
14 going down the panel --

15 DR. CRAWFORD: Well, maybe you and I should go  
16 outside or something.

17 (Laughter.)

18 DR. CONDON: But, no. People have made their  
19 comments in interpreting and based it on different  
20 standards. We've got to try to get people together so we  
21 are thinking of the same part. As long as somebody is using  
22 one standard and it is different -- and you might go off and  
23 develop a risk assessment that is great for this other  
24 standard.

25 But it is no good to CVM because it doesn't apply

1 to their section of the law. That is all I am saying. And  
2 whether it's -- you know, I am not saying it is your job to  
3 do it. But that is something that CVM has got to do because  
4 just in discussion of the panel, it points out there was at  
5 least three different interpretations of what the standard  
6 was.

7 DR. STERNER: I don't see anybody at a microphone  
8 right now. And I am going to give panelists a chance. But  
9 before we do, it may cut to the chase just a bit if I offer  
10 at least somebody from CVM the opportunity to respond to Dr.  
11 Condon's comments. And I think it is very germane to the  
12 task of the panel here if you would like to do that,  
13 anybody.

14 They are conferring right now. In the meantime,  
15 in the interest of keeping the proceedings moving, Dr.  
16 Angulo has a comment.

17 DR. ANGULO: Well, I just -- maybe it is  
18 tangential. But I like the image of an elephant. And I  
19 think it paints a good picture. But please recognize the  
20 elephant is moving and perhaps going down the hill. And it  
21 has been going down the hill since 1995 when serafloxacin  
22 was approved. And it gained speed when enterofloxacin was  
23 approved for poultry use.

24 And in the meantime, we were hoping to slow it  
25 down. And we see eventually slowing it down through the

1 framework process which was announced a year ago. And it  
2 has been a year. And we are at this destination which is  
3 wonderful step progress, a wonderful step forward. But we  
4 really need to gain momentum and address this issue.

5           And the way to address this issue is we believe  
6 that we really could diffuse much of the consternation on  
7 this issue of the framework if we could categorize the  
8 drugs. If the drugs were categorized, at least the strawman  
9 to allow people to comment on whether they think the  
10 categorization is appropriate, then those people that are  
11 concerned that the categorization would be over-stringent or  
12 under-stringent could begin -- we could then start that  
13 discussion.

14           So we need to categorize the drugs in the near  
15 term. We need to have near term discussions on where the  
16 appropriate thresholds are at -- on the one hand, it has  
17 been a Herculean effort to have this risk assessment. It  
18 was called for almost unanimously for it to be done. But at  
19 the same time, there was optimism when this meeting was  
20 first announced that this meeting would be talking more  
21 substantively about establishing thresholds.

22           And although -- not to comment negatively about  
23 the progress that has occurred, but recognize it is just a -  
24 - the delay is frustrating. And in the meantime, the  
25 elephant is still going down the hill. And we need to

1 mitigate the emerging fluoroquinolone resistance.

2 DR. MORRIS: Actually, if I could add to that, I  
3 would also second this idea -- this concept. Again,  
4 speaking as someone who is taking care of patients on a  
5 regular basis, we have an elephant who is sort of rolling  
6 down hill. Our resistance rates are rapidly rising. What  
7 was the rate for Campylobacter in the '99 data?

8 DR. ANGULO: Well, we don't have December data yet  
9 which might dilute it. But it is 21 percent. And last  
10 year, it was 13 percent. And that is not final data yet.  
11 But it is going to be two to three to four to five percent  
12 higher than 1998. And it is likely to increase at a rate of  
13 two to five percent a year.

14 DR. MORRIS: I have this vision of Rome burning as  
15 Nero fiddled sort of thing. And I can say that because I am  
16 not in the government. But we have a very substantive  
17 clinical problem on our hands. We have gone -- you know,  
18 there has been a substantive jump in resistance over the  
19 past year.

20 And we get into -- we could argue about thresholds  
21 for years. And as I said, it comes back to my concept, this  
22 is not a static process. We can't argue over the thresholds  
23 in the '98 data because they are already out of date. The  
24 process is moving much too rapidly for this.

25 And there must be a sense of urgency in this

1 because although I am concerned about the resistance in  
2 Campylobacter, I am scared, you know, I won't say what,  
3 about the emergence of fluoroquinolone resistance in  
4 Salmonella. And, again, that is not quite yet on the radar  
5 screen.

6 But the data that are coming out of Denmark says  
7 that even when you are not seeing at the clinical  
8 breakpoints, you are beginning to see clinical effects. And  
9 I don't want to be arguing three years from now about  
10 quinolone resistance in Salmonella when we are up at a rate  
11 of ten or 20 or 30 percent. I think there is a sense of  
12 urgency which needs to be instilled in the process. And I  
13 will stop at that point.

14 DR. STERNER: Dr. Beaulieu, it is your opportunity  
15 to respond for CVM to Robert Condon's comments.

16 DR. BEAULIEU: Yes, and I don't want these  
17 comments taken in any way as a response to what we have just  
18 heard since --

19 DR. STERNER: Sure.

20 DR. BEAULIEU: -- Bob's question came up. I would  
21 have to agree with Kent McClure's assessment I think. There  
22 is no safety standard per se established in 512. There is a  
23 lot of legislative history that would argue that it ought to  
24 be reasonable certainty of no harm. That I think is  
25 debateable to some extent. Some Courts have found that to

1 be a reasonable argument. Some Courts have not.

2 Even if we accept reasonable certainty of no harm  
3 as the standard, we still have to define what harm means in  
4 this context. And what we asked the panel for was their  
5 judgement about what they thought harm might mean in this  
6 context.

7 I agree that CVM, the Agency has to define at some  
8 point, has to try to quantify what is an acceptable risk in  
9 this context and start working from there. That is not an  
10 easy thing to do. We are charting new territory here. And  
11 I thought it was very important this morning that we heard  
12 how other federal agencies are dealing with this same issue  
13 and the kinds of standards that they are establishing to  
14 deal with some of the risks.

15 We will take all that information under advisement  
16 and we will certainly try to come up maybe in further  
17 processes like this with a standard that hopefully we can  
18 all live with. I appreciate having said that. There is  
19 some urgency to get on with this. We are concerned about  
20 the issue as you folks are.

21 There are things we can do in the meantime to try  
22 to mitigate this risk. And some of them are already ongoing  
23 now in terms of increasing judicious use of drugs in animals  
24 and so on. And we will certainly continue to do all that as  
25 we seek to try to quantify the level of risk that we deem

1 acceptable.

2 DR. STERNER: Other questions for the panelists?  
3 Fred, you had a comment?

4 DR. ANGULO: I think the point about is there  
5 something that can be done to mitigate the risk short of  
6 withdrawal of the drug which I agree completely, withdrawal  
7 of the drug demonstrates I guess failure might be -- I mean,  
8 it didn't work -- isn't there a way that we can figure out  
9 how to mitigate this problem short of the draconian approach  
10 of withdrawing the drug?

11 That doesn't serve anybody's purpose perhaps  
12 except for -- well. So is there -- can you mitigate the  
13 risk? And mitigate the risk, you can mitigate the risk by  
14 either decreasing drug usage or decreasing transmission.

15 And ideas on how to mitigate -- how to reduce drug  
16 usage -- well, first, I think it would be a wonderful show  
17 of good faith, although there is no legal requirement for it  
18 -- it would be a wonderful show of good faith, now the  
19 public health has shown -- believes that there is a harm  
20 from the use of fluoroquinolone in poultry, for the industry  
21 to provide the data -- drug use data freely to show how much  
22 fluoroquinolones have been used to the public; a show of  
23 good faith that you share the equal concern the public  
24 health has, provide the data.

25 And it would allow us to feel more or less

1 comfortable with this escalating resistance. If the drug  
2 usage is remaining fairly constant, we could interpret  
3 perhaps changes in resistance we are seeing with some --  
4 have some understanding perhaps about whether it is --  
5 whether mitigation is possible.

6           So I call on a show of good faith from the drug  
7 industry to provide, as they did in an excellent example in  
8 the United Kingdom when they provided fluoroquinolone use  
9 data in the United Kingdom in a similar manner. Kilograms  
10 of useable by animal species by year would help us  
11 understand the risk. If they share the concern of the risk,  
12 I think they could demonstrate good faith by providing that  
13 data, although there is no legal requirement for them to do  
14 that, obviously.

15           Secondly then, in terms of mitigation of the risk,  
16 decrease in drug usage, it is a wonderful development in  
17 terms of develop the judicious use programs. The one  
18 developed by the American Association of Avion Pathologists  
19 is a major step forward. And it would be very useful to  
20 show implementation of that and then to, as anything that is  
21 implemented, fine tune it according to what is or isn't  
22 working.

23           You heard the problems this morning with such a  
24 program for physicians. It is being fine tuned by studies  
25 that demonstrate where the barriers are, etcetera. Can such

1 studies be done amongst the relatively small numbers of  
2 poultry practitioners and just to see -- it would help  
3 ourselves as the risk identifiers. We would be assured if  
4 we knew the extent that the poultry veterinarians were  
5 adhering to these guidelines. So maybe a self-survey of how  
6 they are adhering to the guidelines that are developing  
7 would be useful.

8           Other ways to decrease drug usage is there could  
9 perhaps be evidence provided on the culture sensitivity  
10 necessity of using fluoroquinolones. And an interesting  
11 development in Denmark is that Denmark is now soon to be --  
12 or will soon be implementing a requirement that before  
13 fluoroquinolones are used -- as I understand it, before  
14 fluoroquinolones are used for a second time on a premise,  
15 they must have culture sensitivity data that demonstrates  
16 its utility.

17           Thirdly -- then I mentioned that you could also  
18 mitigate the problem by decreasing transmission. And one  
19 way to decrease transmission, I don't know if it is  
20 practical, but perhaps those houses where birds receive  
21 fluoroquinolones, the integrators could schedule their kill  
22 schedule or their slaughter schedule so that those houses  
23 that get treated with fluoroquinolones, that they just  
24 simply go to slaughter immediately before clean-up.

25           And, therefore, we might decrease the transmission

1 at least to other houses that haven't been treated with  
2 fluoroquinolones. And then a house that is treated with  
3 fluoroquinolones, the -- doing studies to see whether it is  
4 useful to clean out the litter and spray wash the house  
5 before repopulating it with the next chicks would be very  
6 useful and might be a practical intervention.

7 Well, of course, all of these have practical  
8 concerns and economic costs. But they would help us in  
9 public health feel that at least the people share our  
10 concern and are beginning to address it. The reason why we  
11 feel the -- for an analogy, but the reason why we are  
12 frustrated that the elephant is going down the hill is  
13 because we have been calling for evidence of some mitigation  
14 for a number of months and perhaps years. And we are still  
15 unaware of any concrete evidence of mitigation.

16 DR. WAGES: Dennis Wages. I am a Professor of  
17 Poultry Health Management at North Carolina State University  
18 at the vet. school and also the Chairman of the Drugs and  
19 Therapeutics Committee of AAAP which are writing these  
20 guidelines Fred, they are not done completely.

21 The guidelines are I would say 75 percent  
22 complete. Ninety percent of the bacterial infections that  
23 we deal with, the guidelines are written and there are  
24 certain approvals.

25 And as most of you noticed, we have looked at

1 taking the neomycins and the streptomycins and the drugs  
2 that physicians would not consider important and we would  
3 consider are older standard drugs and use them labeled or  
4 extra labeled before we would go to a fluoroquinolone. And  
5 that violates -- that is against federal law.

6           And until we get some regulatory direction, we  
7 will still finish the guidelines. They will be as good the  
8 paper they are written on without AAAP and AVMA backing. So  
9 that is what we are kind of waiting on. But they will still  
10 be out there.

11           And things are being done. You know, every time  
12 that we get into a situation -- and I can't speak totally  
13 for every integrator in the United States. But every time  
14 that we get into areas where we try to go a direction,  
15 people that don't understand the poultry agriculture and the  
16 way we produce birds, it all comes back in our face as a  
17 negative connotation versus a positive act.

18           We have got companies that have purchased  
19 irradiating areas and companies that have done extensive  
20 research on pH adjustment of chillers to negate everything  
21 but Listeria at least, to reduce Campy through whole bird  
22 washes.

23           We have -- it is a practical -- when you -- you  
24 know, when you look at a suite and it has, you know, 100  
25 growers or whatever and we have 100 growers in one county in

1 North Carolina and you try to truck chickens, it's just the  
2 logistics -- it's like, you know, when people say that a  
3 ten-percent increase in a production efficiency.

4           And just in chickens alone, that is 32.5 million  
5 tons of grain that we need extra. And then that lack of  
6 efficiency has to go somewhere in a clean-out. You want us  
7 to clean out every time. I think a lot of people would like  
8 to do that on certain areas. I have EPA over here telling  
9 me I can't do that because I can't do the deal. You know,  
10 it is just not a simple thing.

11           But it is unfounded I believe in my opinion whole-  
12 heartedly to think of this issue as -- I used to have a lot  
13 of hair. And I thought it was going to turn gray and it  
14 didn't. It fell out and turned gray.

15           (Laughter.)

16           But they do and they care. And their product has  
17 their names on it. You know, if they go down the tubes on  
18 bad product, that is their livelihood. And I am not, you  
19 know, sitting up here saying that I am going to equate sick  
20 chickens with a human. And I am not going to do that and I  
21 don't think we should.

22           But we still have a job to do, too, to provide protein.  
23 And we still feel that consumers are choosing either a  
24 chicken or soy bean or beef or whatever. And there is a  
25 need for the consumers to own up to some responsibility.



**Dr. Michael Bolger**

1  
2 DR. BOLGER: Well, I want to apologize for my  
3 tardiness. Unfortunately, on the way in from home, I blew a  
4 tire and was on my hands and knees about the time this  
5 presentation was supposed to be made, changing my tire. I  
6 had to return home, find my wife, get her car and start all  
7 over again. So I did have the best intentions of being  
8 here. Unfortunately, my rather dated car didn't want to  
9 cooperate this morning.

10 My task, as I understand it, is to give you a very  
11 brief, ten-minute overview of how we deal with contaminants  
12 in the food supply. And I -- when I talk about  
13 contaminants, as indicated in the introduction, we are  
14 talking about contaminants that are either natural origin or  
15 of human-derived origin.

16 Now, I know that you have had several  
17 presentations on pesticide, safety assessment, risk  
18 assessment and I believe food additives. I will try not to  
19 go over the same material. Oh, right here. Go it.

20 (Slide.)

21 But as any true risk assessor, I always have to  
22 start off with my risk assessment paradigm. It gives me an  
23 anchor by which I can move from. And in terms of how we  
24 approach safety risk assessment in dealing with  
25 contaminants, this is the paradigm that we generally work

1 in. We don't have pointer, right? Okay.

2 So in terms of risk assessment, I make a fairly  
3 pronounced distinction between what I call safety assessment  
4 which is what most people are thinking about and talking  
5 about when they are talking about risk assessment, and in  
6 terms of quantitative risk assessment.

7 So most of the time when we are talking about risk  
8 assessment, we are really talking about safety assessment  
9 which is very much like what you have heard about in terms  
10 of the pre-market safety assessment paradigm that is  
11 practiced in terms of pesticides and food additives. Okay.

12 Could I have the next slide, please.

13 (Slide.)

14 And I will come back to this paradigm here. I  
15 have no way of forwarding this. And but remember that in  
16 terms of how we deal with safety and risk assessment for  
17 food-borne contaminants, the standards that we use are  
18 really dictated by what Congress has delineated in the act.

19 And, again, I think for pesticides and food additives,  
20 those standards were already described to you.

21 For contaminants, we deal with a section of the  
22 Act called 402(a)(1). And there are two standards that  
23 apply here. One refers to it may render injurious to  
24 health. And that is for substances that are added.

25 And when I mean added, in other words, there has

1 to be the hand of man evident. It doesn't have to be  
2 completely responsible for the presence of the contaminant.

3 And a good example is aflatoxin where part -- aflatoxin is  
4 found because it occurs naturally. But, also, you have  
5 elevations of the levels of aflatoxin because of the storage  
6 conditions under which the grain is kept and therefore the  
7 hand to man in part dictates the total level of aflatoxin  
8 you would find in the grain.

9 Then the other standard for contaminants is the  
10 ordinarily rendered injurious to health. Now, Congress  
11 doesn't really tell us in a quantitative sense what is the  
12 difference between these two standards. And when I say  
13 ordinarily rendered, this is for contaminants where there is  
14 no obvious hand of man present, okay, or acting.

15 Now, what I usually describe the ordinary rendered  
16 injurious to health standard is I call it the body bag of  
17 evidence. And what I mean by it is that we actually have  
18 information of adverse reactions at the exposure levels that  
19 we are concerned about to that particular contaminant.

20 Now, we could go with evidence based on laboratory  
21 animal work. But generally, when you look at the dose range  
22 used in laboratory animal work, they are quite a bit higher  
23 than what you normally would find, okay, in terms of  
24 exposure levels to the contaminant of concern. So you are  
25 always making an extrapolation of dose from the animal work

1 to the exposure levels that you are concerned about. And  
2 they are many-fold different.

3           And it is rare that we actually have effects in  
4 animals in the dose range that is equivalent to the dose  
5 range that we are concerned about in terms of human dose.  
6 So generally it will come down to we really need evidence of  
7 adverse effects in humans.

8           Another standard that I just want to briefly  
9 mention is that -- that applies to dietary supplements which  
10 you haven't heard about and I don't really have time to go  
11 into. And there Congress identified the standard as the  
12 dietary supplement presents a significant or unreasonable  
13 risk. Okay.

14           But I just wanted to point this out, that within  
15 the Act itself, you have these different standards of risk  
16 that Congress has identified as to whether you are talking  
17 about contaminant, a dietary supplement, a pesticide, a food  
18 additive or whatever. Can I have the next slide?

19           (Slide.)

20           All right. Thank you. Now, one of the -- there  
21 are some key issues that we have to deal with in terms of  
22 contaminants in terms of setting a formal standard which we  
23 call a tolerance under Section 406 of the Act. And one of  
24 the distinctions which I have already alluded to is this  
25 distinction, is the hand of man evidence.

1           Another is avoidability. In other words, whatever  
2 standard we set, there has to be a reasonable expectation  
3 that you can avoid that level of exposure and that standard  
4 will meet that. In other words, if you set a standard so  
5 low, okay, that it is -- no matter where you look you can't  
6 avoid it, then you failed the standard as defined by the  
7 Act.

8           Another one is detectability. You could go  
9 through the safety assessment paradigm. You could identify  
10 an acceptable daily intake, a tolerable daily intake, a  
11 reference dose, a minimal risk level, all of the same terms  
12 for a safe level. If it is well below what you can actually  
13 measure, then the Act says, no, again, you have failed the  
14 detectability standard as delineated in the Act.

15           Then you also have to consider multi-source and  
16 pathway analysis. In other words, with lead, we couldn't  
17 just consider lead from the diet. We had to consider lead  
18 from all the other sources and pathways that humans are  
19 exposed to in terms of realizing their body burdens.

20           And then another factor that we have to take into  
21 account is the competing dietary risks. In other words, if  
22 you set a standard and you eliminate a certain portion of  
23 the food supply by that standard, what are the resulting  
24 competing risks that you have to take into account in terms  
25 of the nutritional loss and risks, in terms of the fact that

1 if you remove this source of protein and the population has  
2 to go to another source of protein, have you considered the  
3 competing risks?

4           A good example is if you are concerned about a  
5 chemical contaminant risk, you do something that -- in other  
6 words, you come up with a risk management decision that  
7 results in someone consuming less of this source of protein  
8 that you are concerned about, you go to another source of  
9 protein where there is a great microbiological risk. So you  
10 have to weigh these competing risks in terms of the standard  
11 that you finally decide on in terms of a chemical  
12 contaminant.

13           (Slide.)

14           I have already gone over that. Just briefly in  
15 terms of, again, when I talk about safety assessment, in  
16 terms of what you heard about food additives and pesticides,  
17 I mean, this is a paradigm that was set up by Arthur Layman  
18 and Fitzhugh in 1954. And basically, it comes down to the  
19 use of what we call, for instance -- in food additives, it  
20 is called safety factors.

21           At EPA, the reference dose is called an  
22 uncertainty factor. But they are basically -- you know,  
23 they are. They are the same thing. Okay. They are a  
24 different term for the same thing. You are trying to  
25 account for really two issues in terms of the ten-fold

1 safety factor, to account for inter-species extrapolation --  
2 in other words, going from laboratory animals to humans.  
3 And then also to account for human sub-population  
4 sensitivity, you use another ten-fold factor.

5           Now, you know, since Layman and Fitzhugh set this  
6 up in '54, there have been further modifications to this.  
7 One is the additional use of another ten-fold factor that is  
8 used for the reference dose where you are taking a sub-  
9 chronic, in other words, a less than lifetime study. And  
10 you are extrapolating to a reference dose which is intended  
11 for chronic exposure. You would then apply another ten-fold  
12 factor to account for that.

13           And then there are other factors that are called  
14 modifying factors that are applied sometimes to account for  
15 uncertainties that are surrounding the severity of the  
16 response. You have preliminary information on particular  
17 end points, immunological or developmental. But it is very  
18 sketchy, highly uncertain, but somewhat suggestive. And  
19 depending on how conservative you want to be, an additional  
20 modifying factor could be applied.

21           (Slide.)

22           Another important distinction here though, in  
23 terms of the safety assessment paradigm that I have been  
24 talking about, and I am sure you have already heard about  
25 this, but bear in mind, in terms of the safety assessment

1 paradigm, there is a distinction in terms of when we are  
2 talking about a non-carcinogen versus a carcinogen. The  
3 methodologies are different.

4 I have just told you about the safety assessment  
5 paradigm that applies to non-carcinogens. Now, for  
6 carcinogens, basically, what the process involves is the  
7 extrapolation generally using dose information from a  
8 bioassay. And it is a downward extrapolation because,  
9 again, the dose range that you are studying in a cancer  
10 bioassay is many-fold higher than the dose range or exposure  
11 range that you are concerned about.

12 So it is an extrapolation downward. And it could  
13 be linear or it could be sub-linear. It could be super-  
14 linear. It could be, you know, any way you want to model  
15 it. Now, generally the default way to do it is through a  
16 simple linear extrapolation, through zero. But I just  
17 wanted to point out this distinction in terms of safety  
18 assessment in terms of these two general categories of end  
19 points.

20 (Slide.)

21 It is important to bear in mind though that this  
22 safety assessment paradigm is really a first step in an  
23 iterative process. And I showed you that model, that  
24 paradigm in the beginning. And as I pointed out, there are  
25 many terms that have been coined to -- that really do mean

1 the same thing.

2           And I think sometimes this lends some confusion  
3 that when somebody hears the term ADI, TDI, reference dose  
4 or minimal risk level -- this is the Agency for Toxic  
5 Substances' terms -- that these are different paradigms.  
6 They are not. All right? It all goes back to Layman and  
7 Fitzhugh in 1954. So I think you need to bear that in mind.

8           And it is a very useful screening paradigm for  
9 rooting out or eliminating trivial public health problems.  
10 And that is that by and large it serves us very well. It  
11 provides us with the answer to say this answer is sufficient  
12 to assure us of a level of safety and we need to go no  
13 further.

14           And as I said, by and large, when you are talking  
15 about pesticides or food additives or contaminants, that is  
16 as far as we have to go. Now -- but there are problems and  
17 there are instances where it doesn't always serve us that  
18 well.

19           And that is those are the cases that you hear a  
20 lot about and that is the leads and the dioxins and the PCVs  
21 because when you go through this safety assessment paradigm  
22 where you end up looking at a whole data set of information,  
23 you select one study.

24           You identify one dose level called the no observed  
25 adverse effect level or the lowest observed adverse effect

1 level. And you apply your uncertainty safety factors. You  
2 end up with an ADI, TDI, whatever term you want to call it.

3 And you compare that to your estimates of exposure. And lo  
4 and behold, your estimates of exposure are over this safe  
5 level.

6 And so -- okay. And so you reach the conclusion  
7 that it is unsafe. Well, from a contaminant standpoint,  
8 going back to what the act mandated to us in terms of  
9 avoidability, detectability, competing dietary risks, we  
10 need to think about risks above the safe level because we  
11 have to weigh our risk assessment at the end of the day  
12 against these other issues.

13 (Slide.)

14 So -- and just to point out that in some minds and  
15 in some circles, the uncertainty safety factor issue is  
16 deemed to be not a science issue, but a risk management  
17 issue. In other words, it is -- and the size of that  
18 uncertainty safety factor range is dictated by your level of  
19 ignorance. In other words, the less you know, the bigger it  
20 is. Okay? And so some people look upon that at the end of  
21 the day as a risk management tool. And just let me --

22 (Slide.)

23 So just getting back to this paradigm, for  
24 contaminants, many -- most of the time, we operate very well  
25 within the safety assessment consideration of paradigm. But

1 there are issues like lead, PCVs, methyl mercury, where we  
2 really need to move to the next level of the paradigm and  
3 deal with issues of the degree of adversity, the variability  
4 and uncertainty of dose response.

5 (Applause.)

6 DR. STERNER: Questions for Dr. Bolger on  
7 contaminants? That was very clear and very understandable.

8 After the day you have had, we appreciate you just showing  
9 up. It is just good to have you here. You can go down  
10 here. We are all set. We are moving here to the public  
11 comment period. So we have an hour scheduled for this. Dr.  
12 Sundlof, did you --

13 DR. SUNDLOF: No, I am just going to sit up here.

14 **PUBLIC COMMENT PERIOD**

15 DR. STERNER: Okay. Good. We will ask that  
16 speakers who -- will identify themselves who come and wish  
17 to make comments about this portion of the deliberations,  
18 identify themselves and their organization. You will have  
19 three minutes. Jim will signal you when you have 30 seconds  
20 left. And we expect you to bring it to a close at that  
21 time. So with that, we are open for public comments.  
22 Richard.

23 DR. WOOD: Thank you. This way I get to catch my  
24 airplane. I am Richard Wood. I am the Executive Director  
25 of FACT, Food Animal Concerns Trust. We work on food safety

1 issues related to meat, milk and eggs. We also have a model  
2 layer operation where we -- since 1991, we have had  
3 Salmonella enteritidis controls in place on our farms and  
4 market the eggs on the east coast and in the midwest.

5           According to a presentation we heard yesterday, I  
6 think it was Dr. Long, he indicated, and others have, as  
7 well, that science is but one of six inputs that are  
8 considered in a risk management decision making process,  
9 public values, economic factors and so on.

10           So my comments come from this broader perspective  
11 that must be considered in a risk management decision.  
12 Regarding the risk standard, the way that I think we would  
13 approach this is that since the goal of risk management as  
14 defined by the risk assessment is the reasonable certainty  
15 of no harm, and since this particular risk assessment that  
16 we have been presented with has demonstrated that there is  
17 potential harm to at least 5,000 persons, then we believe  
18 that mitigating steps must be put in place immediately.

19           To look at this as a risk assessment model, what I  
20 am trying to say is that you give us data that shows that  
21 there is potential risk to X number of people, 5,000 or  
22 whatever. Then we want a response. We want to see some  
23 risk mitigating steps put in place and, in fact, would  
24 support a moratorium on the future use of fluoroquinolones  
25 in treating poultry as an optimum mitigating step.

1           Does the FDA have the power to take that step?  
2 Well, it is my understanding that removing a product from  
3 the market can be a lengthy legal process that may take up  
4 to six years. And in raising this issue with them, I am  
5 told that it is highly likely though that if the FDA is seen  
6 to have its ducks in line, I think someone has said earlier  
7 today, and the elephant is going down the hill, to use  
8 another image, that perhaps there would be cooperation on  
9 the industry side to respond to any mitigating steps that  
10 the FDA had arrived at.

11           And yet the recent experience with FSIS with the  
12 Texas plant suggests that good will may not always abound.  
13 And so to meet its obligations under this risk assessment,  
14 the FDA should pursue statutory changes that will give it  
15 full enforcement powers.

16           At a minimum, we would call on immediate steps to  
17 be taken to reduce resistance coming from poultry. And I  
18 thought that in this two-day workshop, that there would be a  
19 greater emphasis placed on discussing actual mitigating  
20 steps that would relate to this model.

21           And we have heard some of those. Yesterday, on-  
22 farm interventions were suggested by one speaker. Dr. Cray  
23 suggested processing contaminated flocks first. Dr. Angulo  
24 was offering some steps that the industry might take. But  
25 as a consumer organization, we believe and ask that there be

1 mitigating steps taken immediately or as soon as possible.

2           What is the appropriate population on which to  
3 base the standard? Well, yesterday Dr. Bell indicated that  
4 fluoroquinolone use may soon be appropriate for children  
5 which according to another chart that we saw yesterday from  
6 Dr. Smith may -- is the largest population infected by  
7 Campylobacter. As a group such as ours, concerned about  
8 public health, children, the immunocompromised and the  
9 elderly, the high risk populations are the appropriate  
10 populations for us to consider in mitigation steps.

11           What is the appropriate legal standard? Well, we  
12 are not equipped to answer that question. But I would like  
13 to affirm that the risk management plan and the threshold  
14 setting should be established through a public process as we  
15 are going through today with public notice, public comments,  
16 public meetings and formal agency action.

17           As the risk assessment is a valued process  
18 partially due to its transparency, so, too, must its risk  
19 management be. Thank you.

20           DR. STERNER: Thank you. Further public comments?  
21 Dr. Sundberg.

22           DR. SUNDBERG: I am Paul Sundberg. I am a  
23 veterinarian with the National Pork Producers Council. And  
24 perhaps I could start with just a comment to expand a little  
25 bit on Richard Wood just said. One of the things about the

1 whole process of this issue is the two-day workshop that  
2 would help give some input into the process. And the whole  
3 process is what we are really concerned about right now.

4 We would like to make sure that we have adequate  
5 opportunity for input. And that includes perhaps a  
6 suggestion of a real workshop type of format that we could  
7 work off of for the coming meetings. So we've got examples  
8 of veterinary feed directive. We've got examples of HACCP  
9 process. We have got a number of examples that offer  
10 stakeholder input.

11 And it really comes down then to stakeholder  
12 communication. Communication from FDA CVM to the  
13 stakeholders here is one thing. And I think offering that  
14 kind of input and that type of process would very much help.

15 Dr. Lieberman made the comment that she was  
16 questioning what is the impact going to be. And if we would  
17 have -- we would use the transparent and open words. But if  
18 we would have a format that we could offer discussion and  
19 real input, we might feel that we have more of an impact  
20 into the process. So that comes under communication with  
21 the stakeholders.

22 Another opportunity is communication. And the  
23 stakeholders -- when I am talking about stakeholders here is  
24 these that are at the meeting. We know what the issue is.  
25 We know what is going on.

1 Another real opportunity here is to take advantage  
2 of Dr. Hueston's eloquent comments and also other comments  
3 that have called for communication -- outreach communication  
4 if you will, risk communication. As I think Dr. Hueston  
5 said, saying that the process is done isn't enough as far as  
6 communication goes. The real challenge is going to be to be  
7 able to communicate what has happened, why it has happened,  
8 what the next steps are. And that also then to be effective  
9 should include all the stakeholders into that process.

10 Finally, one comment and I think the risk  
11 communication, the very importance of that is to maintain  
12 consumer confidence in the products we have. Without that,  
13 as I think it was said before, you will hear numbers and you  
14 will say risk and that is all it is going to take. But in  
15 order to communicate clearly the real risk and really the  
16 process, that will help maintain consumer confidence in the  
17 food supply.

18 Finally, adding NPPC's congratulations to the  
19 chorus of congratulations that have come in bringing forth  
20 the risk assessment certainly is in order. One of the  
21 things that we are concerned about is that we have only, as  
22 everybody else, have had just a few days to take a look at  
23 it.

24 And that is very important that CVM remain open to  
25 input in this process. We will be submitting written

1 comments, further written comments that will give specifics  
2 on the risk assessment. Thanks.

3 DR. STERNER: Thank you. You get an extra ten  
4 seconds for compliments, by the way.

5 (Laughter.)

6 DR. PRETNICK: Steve Pretnick with the National  
7 Chicken Council. We would also like to congratulate CVM for  
8 going through this risk model development. We do have a  
9 number of concerns, however, with some of the assumptions  
10 that were made, as well as the scope of the model. And we  
11 will address those in writing in detail.

12 I would also like to add that we, too, support a  
13 workshop. We feel that some of the concerns that we have  
14 with the model could have been addressed if there were an  
15 opportunity for the industry to have a dialogue with CVM.  
16 We could have worked out some of what we think may be  
17 erroneous assumptions.

18 But, anyway, we, too, would like to be a part of  
19 this process. And we think it would benefit all the  
20 stakeholders if we could have such a workshop and move  
21 forward.

22 DR. STERNER: Thank you. Dr. Berkram.

23 DR. BERKRAM: I am Tom Berkram, Executive Director  
24 of the American Association of Swine Practitioners. And  
25 first of all, I would like to make a bit of a correction,

1 with the permission of my esteemed colleague from North  
2 Carolina, about poultry when he was listing the different  
3 commodities.

4 I am sure it was an oversight, but he forgot to  
5 put pork on that list. So I would just include that right  
6 now.

7 DR. WAGES: I apologize.

8 DR. BERKRAM: Apology accepted.

9 DR. STERNER: The other white meat.

10 DR. BERKRAM: Right. Now, at the risk of turning  
11 this into a love fest., I would commend Steve and his staff  
12 for doing this risk assessment. We think that it is a good  
13 first cut. And that is a quote from a statistician that we  
14 have engaged to review this risk assessment. Given the  
15 short period of time though, we haven't done a complete  
16 review.

17 And in the preliminary review, we have discovered  
18 some areas that we feel will need to be clarified, modified  
19 and corrected. And we will be offering complete comments in  
20 writing at a later date.

21 Just as the risk assessment is a good first cut,  
22 we feel that this should just be the first step in the  
23 ongoing discussion of this particular issue. And I would  
24 echo the comments from a number of the people already that  
25 although we recognize the value of this format being a

1 lecture format for a meeting.

2           For transfer of information and knowledge, we  
3 think that a really substantive and interactive workshop  
4 would certainly advance everybody's feelings about this, to  
5 feel more comfortable with the risk assessment and the  
6 stakeholders having that input.

7           Lastly, we would urge the FDA to continue to  
8 recognize the complexity of this issue. Although I can now  
9 describe this risk assessment as a very simple mathematical  
10 model, although I often question that, that really belies --  
11 that description belies the fact that this is still a very  
12 complex issue. And we would certainly not want to see  
13 simplistic mitigation tactics or strategies imposed on an  
14 industry -- on the animal agriculture industry without some  
15 consideration being given to all the consequences, intended  
16 as well as unintended. Thank you.

17           DR. STERNER: Thank you. Any other comments? It  
18 is about that time per day. I have seen many post-prandial  
19 insulin surges here and some eyelids being stared at from  
20 the rear side. It probably would be good to stand up and  
21 recirculate static blood for about five minutes. And then -  
22 - please, Dr. Sundlof.

23           DR. SUNDLOF: Yes, I just -- I made a terrible  
24 oversight yesterday in not recognizing one individual who  
25 was more or less responsible for the creation of the risk

1 assessment and that was Peggy Miller who has left CVM for  
2 bigger and better things. And for some reason, when she  
3 walked out the door, she kind of checked out of my memory.

4 (Laughter.)

5 But I think it is very appropriate to make sure  
6 that Peggy Miller does get recognized for having the vision  
7 to put this whole thing together.

8 DR. STERNER: So we have a five-minute break here.  
9 And then Dr. Thompson will start.

10 (Whereupon, a brief recess was taken.)

11 **SETTING THRESHOLDS AND NEXT STEPS**

12 **Sharon Thompson, D.V.M.**

13 (Audio missing.)

14 DR. STERNER: --- small animal and exotic  
15 practice. She holds her bachelor's degree from Harvard  
16 University -- excuse me, Harvard University in 1983 and a  
17 D.V.M. degree from the Virginia-Maryland Regional College of  
18 Veterinary Medicine, 1987. Dr. Thompson, it is my distinct  
19 pleasure to turn the podium over to you.

20 (Slide.)

21 DR. THOMPSON: Okay. Well, my big benefit was  
22 going to be that I was going to get us out of here early  
23 because I had budgeted extra time so that I could accomplish  
24 that. But you have done such an excellent job, Keith, that  
25 we -- I don't even have to work at it.

1 I wanted to just spend a minute commenting on some  
2 of the points that people made in terms of I guess their  
3 disappointment that we had not gotten more into the subject  
4 of thresholds at this particular meeting. As many of you  
5 know, initially in the planning of this meeting, we did plan  
6 to have a whole session to talk about the establishment  
7 thresholds.

8 But similar to you, we basically got -- CVM got  
9 the draft risk assessment model very late. And that was  
10 through no fault of anybody's. But that was the reality.  
11 And we just did not feel that we would be prepared to  
12 discuss not only the validity of the model, but exactly how  
13 it would be used in terms of the establishment of  
14 thresholds.

15 So just to explain to you that we certainly do  
16 think that the issue of thresholds is an important issue. I  
17 am going to provide you some very preliminary comments  
18 today. We do plan to look more at this issue in the future.

19 And so just to give you a little bit of background on that.

20 (Slide.)

21 First, I wanted to start by giving people some  
22 history in terms of how we talked about thresholds in the  
23 Framework Document and then to talk about how this could fit  
24 into the risk assessment model itself. In the Framework  
25 Document, the FDA talked about two different kinds of

1 thresholds, a resistance threshold and a monitoring  
2 threshold.

3           The resistance threshold really was envisioned as  
4 being the upper limit of resistant bacteria that could be  
5 transferred from animals to humans and still be considered  
6 safe. And in the document, we basically had talked about  
7 this threshold being established in humans.

8           The monitoring threshold was viewed as being  
9 established either in humans or in animals. We didn't  
10 define which and actually asked for comment on that. But it  
11 was envisioned as being an early warning system so that when  
12 you were approaching the monitoring threshold, basically the  
13 monitoring threshold could either be loss of susceptibility  
14 or frank resistance in terms of a resistance prevalence.

15           And when you were to approach that, the sum action  
16 would be taken, basically mitigation action in terms of  
17 further investigation or potentially changes in terms of how  
18 the drug was used on the farm, changes in management  
19 practices. That was what was envisioned in terms of a  
20 mitigation action.

21           As I said earlier, basically the resistance  
22 threshold was defined in humans. And for Category 1 drugs -  
23 - I would like to focus on that today -- the Framework  
24 Document stated that the resistance threshold would need to  
25 be zero or very low. This doesn't necessarily mean that

1 resistance in animals would also necessarily have to be zero  
2 if data was available to show that some level of resistance  
3 in animals would not result in crossing the resistance  
4 threshold in humans.

5           For each Category 1 drug, the Agency would need to  
6 define the end point of concern. And what I mean by that --  
7 I will give you an example. The Framework Document  
8 discussed resistance in Salmonella as the end point of  
9 concern for quinolones. Therefore, resistance developing in  
10 other pathogens such as Campylobacter would not necessarily  
11 raise the same level of concern as resistance developing in  
12 Salmonella.

13           I don't mean to say that we would not be concerned  
14 about resistance in Campylobacter. Just in terms of the  
15 human health impact, we would be more concerned about  
16 resistance in Salmonella.

17           The end point is, obviously, very directly related  
18 to the risk standard. Now, as Linda earlier had mentioned,  
19 in terms of the Framework Document, that was defined as the  
20 loss of availability of safe and effective antimicrobial  
21 drugs to treat human disease. For Category 1 drugs, the end  
22 point was more specifically highlighted as the loss of  
23 significant human antimicrobial therapies when alternative  
24 drugs were limited. So there was a consideration of  
25 alternative therapies in terms of the categorization

1 process.

2 Linda had also mentioned that we have put out an  
3 analysis of the comments that we received on the Framework  
4 Document. And in case anyone has not gotten that, it is out  
5 on the -- copies are out on the table outside.

6 But I wanted to briefly mention a few points with  
7 respect to the thresholds. Basically, we received many  
8 comments as were made earlier, as well, about the need for  
9 extensive public dialogue and stakeholder involvement as we  
10 move forward, and especially on the issue of threshold. FDA  
11 definitely agrees with that.

12 I think we -- and the reason I was late getting up  
13 here -- and I apologize -- was that I was following up with  
14 Dr. Sundberg in terms of what were his thoughts on how we  
15 could design a better process in the future in terms of  
16 interaction in a workshop. So I do think that that is very  
17 important.

18 We also mentioned in the comment analysis that  
19 because really of the difficulty that we envisioned in  
20 establishing thresholds, that we are considering limiting  
21 that requirement in terms of a formal threshold only to  
22 those products that would be classified as a Category 1  
23 product.

24 (Slide.)

25 Okay. In terms of setting thresholds, we really

1 envision that there is two ways that it could be done. One  
2 way would be what we consider a technology-based method and  
3 the other would be more of a health-based method.

4           In terms of a technology-based method, what we  
5 mean by that is that a technology-based threshold typically  
6 would be established by measuring the amount of contaminant  
7 currently present. So, for example, HACCP limits for  
8 Salmonella contamination on carcasses were established by  
9 measuring the current level of carcass contamination.

10           And then if a qualitative risk assessment were to  
11 suggest that that amount represented an unacceptable risk,  
12 then further regulatory action could be taken. In the HACCP  
13 regulation, USDA concluded that the current food-borne  
14 disease burden due to Salmonella was too high and required  
15 the levels on carcasses to be lowered.

16           For antimicrobial resistance in animal food-borne  
17 pathogens, a technology-based threshold could be established  
18 by measuring the amount of resistance present in the food-  
19 borne pathogen for approved products or the amount projected  
20 to develop based on pre-approval studies. And if that level  
21 was viewed as representing an unacceptable public health  
22 risk, strategies could be developed to decrease the disease  
23 burden or the resistance level.

24           While technology-based thresholds have an  
25 advantage in terms of the ease of establishment, the values

1 are not necessarily tied to public health outcomes.

2           The other method that is routinely talked about in  
3 the literature is health-based thresholds. And these are  
4 usually established based upon a safety assessment. Since  
5 public health risk is a product of hazard times exposure,  
6 health-based thresholds are generally established by  
7 performing a comprehensive evaluation of both the hazard and  
8 exposure.

9           Establishing health-based thresholds, however, on  
10 the basis of a quantitative risk assessment for all  
11 antimicrobials and all pathogens would be difficult and  
12 resource intensive due to the lack of quantitative data on  
13 public health outcomes related to the use of antimicrobials  
14 in food animals. And in some cases, these also may be  
15 difficult to directly relate to public health outcomes due  
16 to uncertainty and the quality of available data.

17           The risk assessment model does facilitate the  
18 establishment of thresholds because it builds a link between  
19 resistance levels of animals and resistance levels in humans  
20 and ties that to a human health impact. The ability to link  
21 these two can assist us a regulatory agency in setting  
22 thresholds.

23           But, however, as we heard during this meeting, we  
24 really must first define certain questions including a more  
25 clear definition of the risk standard. And then we must

1 also talk about what is the regulatory end point of concern.

2           If we go with the definition of reasonable  
3 certainty of no harm as defined in the Framework Document  
4 where we look at loss of available therapy, then we would  
5 need to look potentially at the particular drug or class of  
6 drugs and say what are we most concerned about in terms of  
7 resistance development with this particular drug and the  
8 particular pathogen of concern.

9           So one approach could be to use a hybrid of a risk  
10 assessment and a safety factor approach to established  
11 thresholds. For example, the complete risk assessment would  
12 be conducted for the pathogen that develops resistance the  
13 soonest or what we could call the sentinel food-borne  
14 pathogen in the animal species associated with the most  
15 food-borne disease due to that pathogen. And we could call  
16 this the reference animal species.

17           For example, with quinolones, we could choose  
18 resistance developing in Campylobacter and this would be the  
19 sentinel food-borne pathogen, in chickens, which could be  
20 the reference animal species. The risk assessment model  
21 could then be used to determine when an unacceptable human  
22 health impact is reached for the resistance threshold  
23 established in humans.

24           And furthermore, to calculate the level of  
25 resistance permissible in the sentinel food-borne pathogen

1 on the reference animal species at slaughter -- and this  
2 would be the monitoring threshold -- the monitoring  
3 threshold could then be applied to all other species and be  
4 protective of the public health because the food-borne  
5 disease burden from other species associated with that  
6 particular pathogen should be less than that of the  
7 reference species. And that is inherent in how you define  
8 what the reference species would be.

9 (Slide.)

10 For food-borne pathogens with health impacts  
11 greater than that of the sentinel bacteria, it may not be  
12 possible to wait until resistance develops to assess the  
13 public health impact. And this point has been brought up  
14 during the meeting before, that you may not want to wait  
15 until you have enough data to a quantitative risk assessment  
16 and judge what is the human health impact because at that  
17 point, you know, you are already too far.

18 So, for example, specifically mentioning the issue  
19 that has been talked about, the Agency may not want to wait  
20 until fluoroquinolone resistance develops in Salmonella to  
21 support a full-blown risk assessment on this Salmonella-  
22 related human health impact.

23 In this case, a safety factor could be determined  
24 and applied to the monitoring threshold established for the  
25 sentinel bacteria to be protective of the public health.

1 And mitigation action could be warranted when either the  
2 monitoring threshold in the sentinel bacteria or other food-  
3 borne pathogens would be reached.

4           So in this kind of approach, we would have more  
5 than one monitoring threshold for fluoroquinolone resistance  
6 that would trigger the need for mitigation. One might be in  
7 Campylobacter derived from a quantitative risk assessment  
8 and another might be in Salmonella, either reduced  
9 susceptibility or low level resistance depending on where we  
10 go, derived from a more qualitative risk assessment and the  
11 application of a safety factor.

12           (Slide.)

13           I want to talk a little bit also about some other  
14 critical risk management tools. I mean, we are focusing  
15 here on thresholds. But I really do think it is very  
16 important that we believe it is critical to also look at  
17 judicious use of antimicrobials. I think we -- Dr. Sundlof  
18 mentioned this and others have mentioned how supportive we  
19 are of the work that is going on by the AVMI. And we really  
20 do believe that this is a critical piece of the equation.

21           The application of these principles are critical  
22 in managing the risk of antimicrobial resistance by limiting  
23 the use of important human antimicrobial in food-producing  
24 animals to only when it is really necessary and thereby  
25 reducing the selective pressure for the development of

1 resistance.

2           In addition, another critical piece of the  
3 equation has also been mentioned during the meeting, the  
4 impact of HACCP and what impact that has in terms of overall  
5 food-borne disease. While we believe the risk assessment  
6 was appropriate designed to estimate risk to human health  
7 from resistance food-borne pathogens associated with the use  
8 of antimicrobials in food-producing animals, the current  
9 apparent effect of HACCP is to reduce human exposure to  
10 food-borne bacteria which could concurrently reduce illness  
11 of people.

12           So this is something you can't ignore in terms of  
13 the overall management of risk because if overall food-borne  
14 disease burden goes down, then concurrently hopefully  
15 resistant food-borne disease would go down. So I think --  
16 we feel that although we think we have looked at the issue  
17 from our prospective appropriately, we also feel that this  
18 is a very critical piece of the equation.

19           (Slide.)

20           Now, I just want to make a very few comments in  
21 terms of next steps, first, focusing really on the risk  
22 assessment itself and what we plan in terms of moving  
23 forward in terms of that. Basically, we do plan to review  
24 comments made both at this meeting, as well as comments made  
25 to the docket. And I have put here the docket number to

1 submit comments to us on the risk assessment model.

2           We will consider whether the model, itself, should  
3 be revised. There were some comments made during the  
4 meeting in terms of suggestions that we should have looked  
5 at certain aspects. So we will certainly review those and  
6 make an assessment as to whether we believe the model should  
7 be revised.

8           We will also consider any additional data that is  
9 submitted to us as part of the comment process, either data  
10 that is submitted or referenced either at the meeting here  
11 or in the comments. We will look at that.

12           We will also consider suggestions to generate new  
13 information to refine the risk assessment. For instance, if  
14 an industry group has an idea of data that could be  
15 generated that they are interested in collecting that would  
16 perhaps answer some of the questions or address some of the  
17 data gaps that are identified in the risk assessment, we  
18 would certainly more than welcome conversations on that and  
19 suggestions on the data that would be most useful.

20           (Slide.)

21           And then finally, we do plan to publish the final  
22 risk assessment after we consider the comments. We will try  
23 to address all the comments as much as possible in the final  
24 report. We will try to either clarify points or, obviously,  
25 modify things or include additional data. So we will try to

1 improve the description.

2           There has been a number of people who have pointed  
3 out certain things in terms of -- either during the meeting  
4 or on the side about some need to clarify certain pieces of  
5 logic in the report. And we will certainly do that.

6           We are also aware -- it has been made aware to us  
7 the inconsistencies in the current draft. It has been  
8 pointed out that there are variations between some of the  
9 charts and formulas amongst the sections. And we do plan to  
10 try to correct those inconsistencies and put up a revised  
11 draft in the next few weeks. So -- and basically, I beg  
12 your indulgence.

13           We were more concerned with getting it out to the  
14 public so that you would at least have some time to review  
15 it before the meeting and rather than the report being a  
16 perfect draft. So we do plan to correct that and we will  
17 post a revised draft in the next few weeks.

18           In addition, we will be putting up the -- a  
19 spreadsheet on the web so that you can actually download  
20 that and look at the data yourself. So we will be putting  
21 that up and making that available to people. And that will  
22 be on the CVM home page.

23           Now, moving from the risk assessment in terms of  
24 the issue of the risk standard, we would certainly also  
25 appreciate additional comments submitted to us in terms of

1 that particular issue. I think, at least I hope that you  
2 got a sense of the fact that this really is a very difficult  
3 issue that we are struggling with. And we do want  
4 stakeholder input on this issue. So we would look forward  
5 to additional comments.

6 We do also plan in terms of additional meetings,  
7 we plan to have a meeting on the design of pre-approval  
8 studies. That is currently scheduled for February 22nd  
9 through the 24th. And we hope to have an agenda, a draft  
10 agenda available soon. And that will be posted on our home  
11 page. So look for that, as well.

12 And we will also hold additional meetings as  
13 needed. Obviously, the issue of thresholds needs further  
14 discussions. So we do plan to engage the public on that  
15 issue, as well after we have looked further at the risk  
16 assessment and the comments that are submitted on that model  
17 and basically made some assessment of how we can use this.

18  
19 So I think we do want to move forward as quickly  
20 as possible on that. But we felt it was important at this  
21 meeting to at least first get some validation and  
22 opportunity for people to give us comments on the validity  
23 of the model itself. So we do plan that. Also, monitoring  
24 that has been -- as some people have suggested, that we need  
25 to hold a meeting on that, as well.

1 (Slide.)

2 In terms of future risk assessments, I think many  
3 of you are aware that we are also planning to do a risk  
4 assessment on enterococci. And I was listening very closely  
5 during this meeting in terms of public process. And I think  
6 that one message that I am certainly taking home from the  
7 meeting is the need to begin the dialogue early.

8 And so I think that is very important. And I  
9 fully agree with that. And so what I would like to do in  
10 terms of as we move forward into the next risk assessment is  
11 to develop a public process, at least have some sort of  
12 notice defining the scope of the risk assessment that we are  
13 looking at and a call for information in terms of any  
14 relevant information on the issue and suggestions for how  
15 potentially the model could be designed.

16 So I think at least I have heard that very clearly  
17 from people. And if people have any other suggestions to  
18 make to me in terms of how to deal with communication in the  
19 future on this, I would certainly appreciate that, those  
20 comments.

21 And finally, just in closing, I want to thank you  
22 for everybody's participation in the meeting, especially the  
23 speakers who I know in terms of organization, I pressured a  
24 lot of people to come on very short notice to the meeting.  
25 So I really do appreciate that. I appreciate everybody's

1 input into the dialogue at the meeting.

2 I think that, at least from my perspective, it was  
3 a relatively balanced meeting and we had some good  
4 discussion, sharing of views but, as Dr. Sundlof said in  
5 terms of ground rules, no personal attacks. So I think that  
6 was excellent. So I will close there and answer any  
7 questions that I can.

8 (Applause.)

9 DR. STERNER: Questions for Dr. Thompson? Robert.

10 DR. CONDON: Could you clarify, you are going to  
11 put out another draft or something in the near future and  
12 would it be best to wait until that comes out and comment on  
13 it? Because -- could you kind of maybe highlight a few of  
14 the things you are going to change like some of the  
15 arithmetic differences and some of that?

16 DR. THOMPSON: Yes. And I mean mainly it has been  
17 -- and I may ask David Vose also to make a comment on this.

18 But there were some -- we were working people in disparate  
19 places and people were out of the office for certain periods  
20 of time. And so there were some inconsistencies in some of  
21 the charts and the formulas from different parts of the  
22 draft.

23 In terms of really the discussion or the issues  
24 that were presented, that is not going to change. You know,  
25 we would clean up some of the typos, too. And that is -- if

1 you would like to wait for that, we do plan to do that in  
2 the next couple of weeks.

3 But in terms of the issues that we are posing and  
4 how the model is constructed, none of that is going to  
5 change. It is just cleaning up some of the presentational  
6 issues like that. I don't know, David, if he is here or if  
7 he wants to comment on that either. But -- sure.

8 MR. : I would like to make a suggestion  
9 that you when you do the next draft, you put line numbers so  
10 when we are making comments.

11 DR. THOMPSON: Okay, we will try to do that.

12 DR. STERNER: Dr. Richard Carnival is recognized.

13 DR. CARNIVAL: Yes, I am Rich Carnival from the  
14 Animal Health Institute. And, Sharon, recognizing there is  
15 going to be continued discussions on this threshold it  
16 sounds like and further workshops, there are some questions  
17 that have been bothering me for a long time about  
18 thresholds.

19 And I just thought it may not be fair to ask you  
20 these now because you probably can't answer them. But I  
21 thought I would want to get them out there for the record  
22 just to have people think about the idea of thresholds and  
23 exactly where we go with them.

24 First of all, it has been troubling me for a while  
25 as to how FDA would, in fact, enforce thresholds. I think

1 that is a big question on the industry. Now, one way I see  
2 that they could enforce thresholds is taking action against  
3 veterinarians and producers using the product. That is what  
4 happens with residues.

5           When tolerances are exceeded, there is usually  
6 investigation that occurs. And the FDA goes back and looks  
7 at who might be responsible for causing that residue so  
8 action is taken against the veterinarian and producer.  
9 Would it be envisioned that the FDA would put out some sort  
10 of general notice banning the use of this product or greatly  
11 prohibiting the product?

12           Short of that, it sounds to me like the Agency  
13 might be considering taking action against the producer --  
14 or against the manufacturer. So it would raise a question  
15 as to why would the action be taken against the  
16 manufacturer, what justification would there be for that  
17 when, in fact, the manufacturer is simply supplying the  
18 product.

19           They are not necessarily using it and causing the  
20 resistance that is occurring. So there, I mean, there is a  
21 question in my mind how these thresholds would be enforced.

22           So you might want to answer that one.

23           The second part of the question is the current  
24 HACCP sampling is really not statistically-based at the  
25 moment. It is about the best the USDA can do because they

1 are looking at Salmonella and they are testing Salmonella  
2 based on their program for trying to set standards for  
3 Salmonella plants.

4           But it is really not statistically-based. The  
5 kind of threshold monitoring you are talking about seems to  
6 me would entail a much larger program, one that is  
7 representative the nation's food supply as a whole with  
8 multiple species and multiple pathogens.

9           It sounds to me like a major increase in the NARMS  
10 type program. Is that envisioned and who would pay for  
11 that?

12           Finally, it seems to me that the methods that are  
13 used in the detection and susceptibility testing would have  
14 to be validated just like methods that are validated for  
15 drug residues. I mean, there is a very elaborate process  
16 that goes into validating analytical methodology for drug  
17 residues.

18           And we all know how expensive and difficult that  
19 process is. And this, obviously, would involve the NCCLS  
20 and other agencies in trying to do that. So I guess it is  
21 fine to talk about thresholds. And we have been talking  
22 about them and listening to different concepts for the last  
23 year.

24           But I think these are some real, hard core  
25 questions that at some point in time we have really got to

1 put on the table for the industry and say this is how it is  
2 going to be applied; this is how it is going to be enforced  
3 because, otherwise, I am afraid we could talk about this for  
4 the next ten years.

5           And as it stands right now, you know, the drug  
6 approval process is kind of being held hostage. So I just  
7 -- if you could answer any of that today, that is great. If  
8 not, we will hold it for another time.

9           DR. THOMPSON: Well, I will make just a couple of  
10 comments, Rich. Obviously, it is a very difficult area  
11 which is why we need additional dialogue on it. So I can't  
12 answer your whole question.

13           But in terms of really the first question you  
14 posed in terms of whose responsibility, you know, focusing  
15 in really on the monitoring threshold, I think we have had  
16 some dialogue on that with the industry. And what we  
17 envision with that is for that to be, you know, the point  
18 where we would say some mitigation is needed.

19           And I think what we put out initially in the  
20 Framework Document, as you may remember, was kind of  
21 requiring drug sponsors to do on-farm monitoring programs  
22 from the onset.

23           And so some of the information that we would be  
24 looking for in terms of information potentially to aid us in  
25 mitigation in terms of intervention strategies, we would --

1 our idea was we would have some of that information from the  
2 very beginning.

3           And that would aid the Agency in encouraging the  
4 industry, both the drug industry as well as potentially the  
5 individual producer in the industry, in terms of the  
6 appropriate mitigation so that the product could stay on the  
7 market.

8           If you have looked at the comments -- comment  
9 analysis that we put out, basically we are saying now we  
10 don't believe that we would need to have -- or we are not --  
11 we are moving away in terms of saying that we would require  
12 on-farm programs for all products. So we have moved away  
13 from that.

14           But in terms of when we do start to approach that  
15 monitoring threshold, I think we would still go back to the  
16 sponsor and say we need to do some investigation because,  
17 otherwise, we won't be able to tell, for instance, the  
18 producers, we will not be able to make those appropriate  
19 label changes to allow the product to continue to be used  
20 safely.

21           So I think what we have envisioned and I may --  
22 Linda, if you certainly have any additional comments -- but  
23 think what we envision was at that point in time, we would  
24 need to really do some investigations to try to identify  
25 what are the risk factors that could be addressed in terms

1 of mitigation so that, you know, resistance could be  
2 managed.

3           And in terms of action in terms of the Agency,  
4 what we may do may be certain changes in terms of how the  
5 drug is used, label restrictions, that sort of thing.

6           So I think it is a combined effort, at least that  
7 is how I would like to view it. But, obviously, at least  
8 from our perspective, the drug sponsor has a major role to  
9 play.

10           And in terms of the other more technical issues,  
11 in terms of laboratory validations, robustness of the NARMS  
12 program, statistical significance, I don't think we are  
13 there yet in terms of saying how we would define when we  
14 have reached a certain threshold level which I think is what  
15 you are getting at, what statistical basis there is in terms  
16 of saying that we have reached that. And so I think there  
17 is more discussion on those issues.

18           Linda, do you have any additional comments on --  
19           DR. TOLLEFSON: No, I think you covered it well.  
20 I really do.

21           DR. STERNER: If you are going to talk, come to  
22 the microphone.

23           DR. TOLLEFSON: I am Linda Tollefson. I am  
24 Director of the Office of Surveillance and Compliance. And  
25 what Sharon said about the thresholds, I fully agree with

1 it. And I thought pretty much how we laid it out in the  
2 Framework Document.

3           However, you raised a question in the beginning  
4 about would we go -- would we treat it like a residue and  
5 trace it back to the producer or the veterinarian. And, no,  
6 is the answer. We never envisioned doing that. We see no  
7 purpose in it. If you want to provide comments as to why or  
8 what rationale.

9           I don't understand what that would get us. I  
10 mean, what you are thinking of is individual misuse maybe.  
11 Right?

12           (Away from microphone.)

13           DR. CARNIVAL: Well, I wasn't necessarily  
14 suggesting --- problem --- same kind of action taken ---.

15           DR. TOLLEFSON: Right. So you are thinking that  
16 it was being like a violative residue, we would be  
17 approaching the resistance or monitoring threshold. And,  
18 no, we never considered treating it as a result of an  
19 individual producer or veterinarian's actions.

20           (Away from microphone.)

21           DR. COPELAND: Linda, in that same regard ---  
22 resistance ---. And I think that needs to be ---.

23           DR. TOLLEFSON: Right.

24           DR. STERNER: Could you repeat the question for --

25           DR. TOLLEFSON: Right. Go ahead, Dennis.

1 DR. COPELAND: I'm sorry. I thought I could sneak  
2 it in without getting up to the microphone. I am Dennis  
3 Copeland with Bayer. And I just pointed out that I would  
4 envision that if resistance develops, you are going to have  
5 pockets of resistance where, you know, maybe in most parts  
6 of the country, there is no resistance. But there might be  
7 in one location. And I think somehow that has to be taken  
8 into consideration.

9 DR. THOMPSON: Actually, and I know Linda wants to  
10 say this, too, but I am dying, too, is that that is actually  
11 the exact reason that we said that we need more specific  
12 drug use information so that we could address that exact  
13 issue and look at things at more of a regional basis.  
14 But --

15 DR. TOLLEFSON: Right, exactly. If we rely on  
16 NARMS to monitor those monitoring thresholds, we will not  
17 know any kind of regional variation or differences. And, in  
18 fact, we won't detect it. What will happen is it will just  
19 simply be wiped out and we won't see an increase or decrease  
20 in susceptibility or increase of resistance because it is  
21 not powerful enough.

22 Combining the drug use information with the trends  
23 in susceptibility would give us a better handle on that.  
24 But even so, it is pretty difficult.

25 DR. STERNER: Further comments or questions for

1 various either panel members that participated today,  
2 speakers or CVM members? Your opportunity to speak is  
3 rapidly disappearing because it may have to do with the  
4 lateness of the hour. I will point out to you, however,  
5 that we appear to be 45 minutes ahead of the scheduled  
6 departure time. And with that, we are now officially  
7 adjourned.

8 (Whereupon, at 3:40 p.m. on Friday, December 10,  
9 1999, the Workshop on Risk Assessment and the Establishment  
10 of Thresholds was concluded.)

11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24