

1 Obviously the regulations in the statute
2 require that the records be released but at what point
3 does the delay become a failure to release. We are
4 talking about a time frame. Should we include one?
5 Show of hands, yes? No? Now, the big question. How
6 much time is enough time?

7 DR. FERGUSON: You know, we need the
8 records. We send out the records when we get a
9 request. I don't know. Some of the bigger
10 facilities, how long does it take you to find films
11 and get them from the time you get a request?

12 MS. MOUNT: We usually have them sent out
13 the same week.

14 DR. FERGUSON: The same week.

15 MS. MOUNT: Um-hum.

16 DR. FERGUSON: So I would say within 14
17 days. I would like it to be quicker.

18 DR. MONTICCIOLO: I would love that
19 because we have to fight with that all the time
20 waiting for old films but you are depending on the
21 file room and big x-ray departments. You know how
22 that is. Maybe it would make them respond better if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we gave them a time limit. Two weeks would be tight,
2 though, for some facilities, I think.

3 DR. TIMINS: I agree but so many studies
4 are kept off-site so you have to go to the storage
5 facility and then depending on weekends and holidays
6 and whatever, it can take a while. I would certainly
7 love to have them myself from the other facility
8 within a week and have more time to send them out.

9 DR. HENDRICKS: I do think when we have to
10 keep the time frame short enough so that it doesn't
11 prohibit the release of the more suspicious findings
12 once the comparison is made.

13 DR. MONTICCIOLO: Since we want mammos to
14 be read in 30 days, it would probably be like 15 to
15 half that time. I'm only thinking about out-of-state
16 facilities because it takes longer for them to get
17 things together.

18 DR. FERGUSON: And would you be talking
19 about from the time they received the request?
20 Fifteen days from the time they received the request?

21 DR. BYNG: Do you have an impression of
22 how long that takes now on average?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TIMINS: It varies widely because
2 sometimes you'll have a hospital that stops doing
3 mammography and then everything is in their archives
4 and it's a low priority for them so you have to deal
5 through their fileroom. In terms of their receiving
6 the request for records, that's all faxed.

7 DR. BYNG: But you could -- well, the
8 problem with putting it in a regulation is that if you
9 put in 30 days, for one it's too long, but then some
10 facilities might just say, "I have 30 days." I think
11 that is the thing you want to avoid.

12 DR. MONTICCIOLO: I agree but I don't
13 think they are going to say, "Gee, I used to do it in
14 three days but now I can do it in 15." It will just
15 make all those people who aren't -- I mean, you know,
16 it comes down to communication like Jackie mentioned.

17 Like the local people we've talked to the hospitals.

18 They want our films as much as we want
19 theirs so those we get in a few days but it is the
20 facilities we don't know that are out of state or the
21 patient doesn't quite have the name right of the
22 facility and so we have to look at every facility in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Tucson, Arizona to figure out which one they came
2 from.

3 I've had a single person spend a whole
4 week getting someone's films because they called every
5 single facility because we thought it was so
6 important. That took a ton of time but there is no
7 way we would get those fast. Like I said, we're
8 taking maybe measures other people wouldn't take but I
9 think a good place is to send them out as much as
10 possible. This will tighten up the outliers that send
11 them three months later.

12 DR. FINDER: Go ahead.

13 MS. SEGELKEN: I just had a question just
14 for information. Do you ask patients, new patients
15 who are coming to your practices, to bring and to get
16 old films?

17 DR. MONTICCIOLO: We do that 100 percent
18 of the time and patients amazingly do not comply that
19 well with that. It's very difficult for us. We will
20 often say we absolutely need the name of the facility.

21 They can't remember the city or they give
22 us the wrong city. It's really a problem for us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 getting films and they are so important. We have all
2 of our staff trained to do that including our
3 schedulers.

4 DR. FINDER: So I'll take it 15 days.
5 Show of hands? Yes for 15 days. Next question,
6 business days, calendar days. Just kidding. There's
7 a holiday in there. Do we count those days? Okay.
8 Just asking questions. It's getting late.

9 Should specific requirements and penalties
10 be set with respect to record retention for closing
11 facilities, especially about notifying patients where
12 their films would be if they are closing?

13 DR. TIMINS: It's a good idea but when you
14 talk of penalties I remember when HIP went bankrupt
15 and closed their facilities. All the mammograms that
16 they had stored were no longer accessible. It's kind
17 of tough to assess a penalty against a bankrupt
18 facility. On the other hand, it would be appropriate
19 to have on hand a requirement that they make
20 mammograms available to their patients.

21 DR. FINDER: The issue you bring up
22 basically is two-fold. One is a bankrupt facility

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is certainly difficult to deal with. The other
2 is just a closing facility that is not bankrupt that
3 may have moved to another location, may have other
4 sister facilities. It encompasses both those
5 situations.

6 DR. SANDRIK: I wonder if this is
7 something you could put in on the front end, say an
8 accreditation that they would have to demonstrate that
9 they would have a way for dealing with mammograms in
10 case of closing or bankruptcy rather than trying to do
11 it after the fact and finding a way to do this.

12 DR. FINDER: This is an issue that has
13 been, as you might imagine, come before this committee
14 before. One of the issues about trying to get some
15 kind of guarantee or some type of bond, the thought
16 was that you would discourage more facilities from
17 entering the field if they had to put up up front some
18 kind of insurance policy. The consensus in the past
19 has been try to go after the people that actually
20 caused the problem and not penalize the other
21 facilities, but that is certainly something we can
22 consider.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SANDRIK: There are different levels
2 of going after it but part of it might be to have them
3 think about this ahead of time to the point of
4 submitting a plan. Whether you go as far as requiring
5 a bond, insurance, that might be a matter of how much
6 of a problem this really is. In many cases they never
7 think that they are going to go out of business so why
8 should they even worry about a plan.

9 DR. FINDER: Sounds kind of like a
10 prenuptial agreement. As you're getting married you
11 signed the piece of paper saying how you are going to
12 get divorced. These certainly are issues to deal
13 with.

14 DR. FERGUSON: I've actually read for a
15 facility that went out of business and closed and I
16 can tell you when you start talking about penalties,
17 we sent a letter to every patient's address that we
18 had in their chart. We took out an advertisement in
19 the local newspaper that their records would be stored
20 at the hospital that was 15 miles away.

21 If they didn't pick them up within the 45-
22 day period, that's where they'd be stored. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 telling you I had some irate patients call me and this
2 was in another state. They called me, "I can't find
3 my records. I don't know where they are," because
4 their addresses had changed.

5 They hadn't seen the advertisement in the
6 newspaper for whatever reason. You can't cover every
7 base as hard as you try so when you start talking
8 about penalties, I was pretty penalized when they
9 called and cussed me out pretty good. I did tell them
10 where their films were located. I was able to because
11 my facility name was on the report and they knew where
12 to contact me.

13 DR. FINDER: That will teach you to put
14 your name on the report.

15 Okay. Do we think that there should be
16 some addressing of this issue in the regulation? A
17 show of hands for yes? No? The answer is yes.

18 DR. FERGUSON: I would say that it does
19 need to be addressed that this is the way you handle
20 it. When you start talking about penalties, it should
21 be that there should be some allowance that the
22 patient didn't get it for whatever reason.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: Next is regarding
2 mammographic image identification. Should there be
3 clarification that supplies only the images for final
4 interpretation? Also, what does it mean to be
5 permanent when dealing with soft copy digital images?
6 Should this identification of information on the
7 image itself only apply to final interpretation
8 images?

9 MS. MOUNT: What wouldn't be final
10 interpretation?

11 DR. FINDER: For example, on a work
12 station. We're talking about basically digital
13 images. On a work station the identifying information
14 might not display and would that be acceptable.
15 Anybody have any problem with that?

16 DR. SANDRIK: To your point, you have the
17 acquisition station that maybe the technologist looks
18 at. Would you be looking for the same labeling, same
19 location as it would appear on the review work station
20 where the radiologist is operating?

21 DR. FINDER: So, again, the question is
22 should it only be for a final interpretation images or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for any image?

2 DR. BYNG: So one of the distinctions here
3 is on a screen film image if the image is on the
4 screen film it only distinguishes out from potential
5 copies, right, where you don't have this information
6 on it?

7 DR. FINDER: Well, let's not get into
8 copies because for a final interpretation or for film
9 screen there is the image and that image has to have
10 all this information. That is the way this was
11 written. The issue now that we're talking about
12 really only applies to digital images where you can
13 toggle the information on and off, have it displayed
14 on some of the images, not on all the images.

15 Certainly we would be talking -- when we
16 talk about final interpretation, certainly that would
17 mean that images that were sent out to another
18 facility for review would necessarily have to have
19 this information on that.

20 It is interesting that we are now
21 encountering situations where the information
22 sometimes isn't present because they forgot to put it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on there, or it is present and overlies three-quarters
2 of the breast. These are issues we never have to deal
3 with in film screen but they are becoming more
4 important and more common with digital. We are trying
5 to address that information. That's where we're going
6 on this.

7 DR. BYNG: But I think when you get to
8 some of the other requirements it's quite clear that
9 they were written for analog and this one is clear
10 when interpreted in an analog context, but in the
11 digital context, you have to look at all of the
12 instances of the image because there may be some
13 situations beyond just final interpretation images
14 where it is appropriate to have some of that
15 information and a lot where it's not.

16 DR. FINDER: That's why we're asking. The
17 answer is yes? I mean, we're starting off with final
18 interpretation because we assume that under those
19 circumstances it has to be there. Now, if there are
20 other instances where you believe that information
21 needs to be present, certainly let us know. Tell us.

22 DR. WILLIAMS: Or are there instances

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where having that information there would be bad?

2 DR. SANDRIK: Yes. I mean, sometimes it's
3 just annoying to have the lettering is white in many
4 cases. It might be annoying to have that extra
5 luminance from the monitor. You would want to turn it
6 off just to kind of darken the whole area surrounding
7 the mammogram. I think many times radiologists toggle
8 it on and off just to get it out of their way.

9 MS. MOUNT: At our facility that's what
10 they do. They prefer to read it without the
11 information but they view the information to make sure
12 they have the correct patient.

13 DR. WILLIAMS: So that is final
14 interpretation there, right? We would agree that it
15 should be togglable at final interpretation but are
16 there other situations where people, say at the
17 acquisition work station, where it would be a
18 liability to have that information there?

19 DR. SANDRIK: I think there is some
20 concern if you are doing it maybe outside of MQSA but
21 an interventional kind of procedure. If you're
22 depending, say, on the location of some of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeling to identify left, right, medial, lateral, the
2 angle of the view and you are depending on knowing
3 which part of the breast where the labeling shows up,
4 it may be important to have it on other displays.

5 It's a matter, I think, perhaps of how
6 much the user is depending on location of that
7 labeling, in some cases, or even the labeling itself
8 just to know what view was being presented. If the
9 radiologist are present during the procedure, it may
10 be clearly obvious.

11 If the radiologist is in another room and
12 you are sending them remotely between acquisition
13 station and another display station perhaps not having
14 that labeling could be a liability.

15 DR. HENDRICKS: I have a practical
16 question about what the facility is doing right now in
17 terms of their image storage.

18 DR. SANDRIK: I guess I did not realize we
19 were addressing image storage necessarily.

20 DR. HENDRICKS: You know, we start with
21 record retention and how to produce and what images to
22 store. I don't know how they are. I see the viewing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stations and things but I do not know how the imaging
2 is being stored right now at the digital facilities.
3 Do they go to disk at the end of the day? Do they go
4 to disk in 30 days?

5 DR. SANDRIK: I'm just not sure. Oh, I
6 guess a lot of them will go to disk, for example.
7 Some might make hard copy. I think most store
8 electronically where, again, the image can be brought
9 back and then the labeling turned on and off again.
10 In most cases when the hard copy image is made, the
11 labeling is put in the appropriate place pretty much
12 following MQSA type guidelines.

13 DR. WILLIAMS: And all this information
14 will be stored in the DICOM header so for storage
15 purposes it's going to be there and it's going to be
16 retrievable. I guess the question is how much of it
17 should be displayed at any given time.

18 DR. BYNG: And I think until some of the
19 other IHE related issues become more mandatory, it's
20 still not a requirement that all of that information
21 be in the right locations in the images.

22 I think the key word here has to do with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 permanent in the soft copy context because the only
2 way to make it permanent is to put it on the image
3 itself and then all kinds of requirements about size
4 and location and whether you are covering tissue.
5 It's going to become a very difficult thing to
6 interpret for that situation.

7 DR. FINDER: I think the issue here is the
8 regulation right now as written does not differentiate
9 film screen from digital. All this information has to
10 be there permanently. What we're trying to do now is
11 modify this regulation to take into the reality of
12 what digital is.

13 One of the things is this business about
14 toggling the information on and off. If we don't
15 consider that permanent, the ability to toggle on and
16 off as permanent in the context of FFDM, then all FFDM
17 images don't meet the requirement right now.
18 Obviously we have to come up with something that
19 addresses the current situation.

20 This may not be the final solution. There
21 may be other issues that have to be dealt with but,
22 again, if you take this literally one could make the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 case that none of the images from FFDM systems are
2 permanently identified this way.

3 Now, we're not going to do that because we
4 recognize the reality of it and we want to include
5 something that allows this ability to toggle. If
6 there are other issues that come up, we are going to
7 have to address them.

8 Yes.

9 DR. MONTICCIOLO: So if I understand you,
10 then if we agree to this, you're saying it's going to
11 be mandatory for the final images. It doesn't mean it
12 can't be some place on all the other images but it
13 allows that flexibility to toggle.

14 DR. FINDER: Yes.

15 DR. MONTICCIOLO: Okay.

16 DR. BYNG: But I don't see toggle in here.
17 It just says permanent.

18 DR. MONTICCIOLO: This just is saying for
19 the regulations that requirement is that the final
20 images have to have it. The rest of the images you
21 can toggle all you want. You can do whatever else you
22 want with it. It gives that flexibility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BYNG: So when you toggle it on it's a
2 final image and when you toggle it off it's not a
3 final image?

4 DR. SANDRIK: I think the idea of
5 permanent has to be addressed here as to what it
6 means. On a screen film image in a way it was fixed
7 by burning into the film. Permanent will have to be
8 addressed in a different way than digital imaging.

9 DR. FINDER: Again, I think we are trying
10 to get the concept, not the words, and whether it has
11 to be addressed in this regulation or under the
12 definition section as to what permanent means here but
13 as long as we understand that we have a different
14 system and we have to deal with it. Everybody want to
15 raise their hand to yes we've got a different system
16 and, yes, we've got to deal with it? I think I'll go
17 with a yes on that and address it in that manner.

18 Next one is -- no, that's it for this
19 section. Now let's go to page 46 and 47, footnote No.
20 129. Should combining medical audits from different
21 facilities under the same ownership be allowed?

22 Right now I'll tell you the situation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Each individual facility must have its own individual
2 medical audit with one exception. We haven't approved
3 an alternative standard that allows mobile facilities
4 under the same ownership that go basically to the same
5 places so they basically see the same patient
6 populations to combine their medical audit. Should we
7 allow that for any group of facilities owned by the
8 same ownership? Show of hands yes?

9 DR. BYNG: But if you had a problem in a
10 small portion, like it's possible to hide a problem in
11 a portion of the facility then. If you are combining
12 groups of facilities together into a single audit and
13 you've got one that is underperforming, it would be
14 lost.

15 DR. FINDER: Let me kind of address the
16 history behind all this. The original reg was written
17 based on individual facilities. Part of the rationale
18 behind that was that if you start combining multiple
19 different facilities, you can do exactly what you're
20 talking about, kind of lose some of that information.

21 However, you can also gain information by
22 having larger numbers and better statistics. Probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the major concern that we had at the time were
2 facilities that see significantly different patient
3 populations and trying to combine those was
4 problematic.

5 You could have the sister facilities be
6 screening facilities and the central facility be the
7 diagnostic center. The numbers there would be quite
8 different even if you are dealing with the same
9 physician's reading because of the patient
10 populations.

11 What do you gain and what do you lose by
12 combining those? One thought at one point would be
13 change the audit to require only that you must break
14 down those cases by screening and diagnostic to try
15 and deal with that. The original regulations did not
16 address that. It just said medical audit and didn't
17 necessarily require that there be a differentiation
18 for screening from diagnostic studies.

19 These are all types of things, but I guess
20 the general question here is should we look at this
21 issue? Should we try and come up with some kind of
22 conditions in which we would allow facilities to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 combine their audits or should we just keep it the way
2 it is and have them do individual ones?

3 DR. BYNG: Dr. Finder, is the benefit from
4 combining them together simplifying the audit
5 procedure and the cost associated with it?

6 DR. FINDER: No, it's more that if you are
7 in a small facility or multiple small facilities, the
8 individual numbers are not going to give you
9 significant cases to include in the audit, whereas if
10 you can combine a larger number, your statistics are
11 going to be better.

12 It really doesn't have -- there is
13 certainly a factor for the individual facilities. If
14 they could do it all at once it would save them some
15 of the computations but that wasn't the thrust behind
16 our original requirement that it be an individual
17 facility-based situation.

18 The other issue is if one facility screws
19 it up, all of the facilities will end up getting cited
20 for that so they are running a risk presumably if they
21 did that, too. That is something they would have to
22 deal with.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MONTICCIOLO: I would be in favor of
2 combining just for the reasons you cited. It's
3 better, I think, to get more numbers so if you have
4 the same readers and they are reading for multiple
5 facilities, the more numbers you get, the more you get
6 a real overview of what that person is doing.

7 It's actually better for us to assess the
8 physicians, this is a medical audit after all, if you
9 can combine more than one facility if the same people
10 are reading.

11 DR. BYNG: Just to explore that a little
12 bit further, if you reported over all, you combined
13 them, but you also looked at the individual one?

14 DR. MONTICCIOLO: The audit is done by
15 individual physicians.

16 DR. BYNG: No, individual facility.

17 DR. MONTICCIOLO: Yes, but it's the
18 physician doing the reading. It's the readings that
19 are audited.

20 DR. FINDER: I just want to add under the
21 current system we do allow facilities to combine
22 multiple facilities and give one overall, but they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 still have to break it down by individual facility.

2 At this point we do not allow, except for
3 that alternative standard that we've approved, a
4 situation where multiple facilities could have one
5 audit for all five facilities but nothing for
6 breakdown for the individual facilities, if that
7 helps. Show of hands for yes, we should allow
8 different facilities to combine, or no? Yes? And no?
9 Okay.

10 No. 130, should certain metric be required
11 of the medical audit? This was discussed at the last
12 meeting, too, but we always want to bring it up again.

13 Things like positive predictive value, cancer
14 detection rate, things like that. Should that be
15 required as part of the audit or not? We'll take a
16 show of hands. Yes? And no? Any comments?

17 DR. MONTICCIOLO: Well, as we talked about
18 at the last meeting, it is extremely cumbersome to add
19 more numbers than we already look at. It also is very
20 population dependent so if you are going to have to
21 set benchmarks, there are different people reading and
22 your numbers are going to be a different cancer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 detection rate than mine being in an urban center.

2 I think that would just add more
3 -- it's more onerous also to extend the audit. We
4 talked about the resources already being expended on
5 auditing and I think this is just stepping it up a
6 level that is not going to benefit and it's going to
7 cause an awful lot of facilities to have difficulty
8 meeting the requirement.

9 DR. FERGUSON: That was exactly my
10 thought. It's going to be very cumbersome for very
11 limited gain and I think it's going to create
12 heartburn for quite a few facilities to be able to
13 come up with this in addition to everything else that
14 we do. What are you going to do with the data?

15 DR. BYNG: Maybe that's the part I was
16 confused about. It just asked about measuring those
17 metrics, not that there would be standards associated
18 with those metrics.

19 DR. MONTICCIOLO: But then those kind of
20 measurements takes time, personnel, and resources.
21 Already it's hard to get people to even go into
22 mammography because they feel like all this is so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 onerous and to add more without much gain I don't
2 think is going to benefit the mammography community or
3 the patients.

4 Right now we already do an audit. We look
5 at our positive results. We look at our detection
6 rates but this is positive predictive values and
7 rates. It's more resources to do this kind of thing.

8 If you haven't done this kind of
9 paperwork, I can tell you it takes a lot of resources
10 and it is driving people out of the practice. I think
11 ultimately it's going to hurt the practice of
12 mammography to keep putting more on facilities to do
13 this type of data collection.

14 DR. BYNG: But some of these metrics are
15 just recalculations of the numbers that you already
16 have.

17 DR. TIMINS: I'd like to change my vote.

18 DR. WILLIAMS: I was just going to make a
19 comment that I think, if I'm not mistaken, this was
20 one of the recommendations from the IOM and I think
21 part of their logic was that they could get many of
22 these additional pieces of information by essentially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a reanalysis.

2 The way we are doing the analysis now
3 didn't really allow us to do that so it's really kind
4 of a data, I would say, research but sort of a data
5 analysis issue.

6 DR. SANDRIK: I think one of the questions
7 is how much is this going to add to improvement of the
8 outcome of the mammogram or mammography at the
9 facility? If you admit that it's just crunching a
10 bunch of numbers you've already crunched once before,
11 how is it really going to change the practice
12 necessarily or improve or change things? This might
13 be one of these 80/20 percent kind of things.

14 By just doing the audit you've got 80
15 percent of the benefit. By playing with the
16 statistics maybe you get a few more percent. Is there
17 really a gain to be anticipated by going to other
18 metrics to express essentially your same data?

19 DR. FERGUSON: I would say let those
20 people who want to do research on it crunch the
21 numbers.

22 DR. FINDER: Okay. Let's get another show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of hands. Yes, we want these metrics? Everybody
2 changed their mind? Okay, no? You don't want any
3 metrics? Wow, that was quite a switch. All right.

4 Next one dealing with clinical image
5 quality. Should we add phantom image quality to this
6 section? Is it necessary to do that? Show of hands
7 yes?

8 DR. MONTICCIOLO: Could you explain the
9 difference between what goes on now? I don't
10 understand. We already have to do the phantom and it
11 has to pass. We do it on all our machines every week.
12 How is this different?

13 DR. FINDER: As I think I mentioned
14 before, these are questions and issues that have been
15 brought to us and they have been brought to you. I'm
16 not sure exactly what is added in terms of this if we
17 added phantom image quality because, as you point out,
18 it is checked every week and there are requirements
19 that the phantom image must pass certain standards. I
20 will ask the question. Show of hands yes? No? Okay.
21 That's a no.

22 We are now talking about additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mammography review and patient notifications. Just
2 for some background, additional mammography reviews
3 are done when we believe that there is a significant
4 possibility that the quality of the mammograms are
5 such that they could place patients at risk.

6 The additional mammography review is done
7 to determine whether that is the case. If it is the
8 case, then that usually leads to patient notification
9 where the facility is required to notify all patients
10 and their referring physicians that there could be
11 problems with the mammogram and they either have to be
12 re-reviewed or repeated.

13 So starting off with this, should the
14 state certification agencies be added into this
15 requirement that they be involved in this? I will say
16 again this is more for clarification since they do
17 have their own process for doing these things. Show
18 of hands yes? No? That's a yes.

19 Should a requirement that facilities have
20 to reimburse the accreditation body for the cost of
21 the additional mammography review be included? Yes or
22 no? Yes? No? That's a yes. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Should a qualifier be included to limit
2 the notifications to only those patients who are at
3 risk? For example, those patients who have studies
4 within certain time frames? Or, for example, who have
5 already had their films reevaluated? Yes? No? Show
6 of hands. Does everybody understand what I'm talking
7 about?

8 DR. MONTICCIOLO: This is for facilities
9 that have already been told they are losing their
10 accreditation or they have failed in AMR?

11 DR. FINDER: Now we are talking about
12 patient/physician notifications. These are ones that
13 have already failed in AMR and have been determined
14 not only that they failed the AMR but a determination
15 has been made that the quality of the studies
16 represents a risk to human health such that we believe
17 the notification should be done.

18 Yes?

19 DR. FERGUSON: I've been involved in a
20 couple of these. The way we handled it was that we
21 brought in radiologists who were on the Clinical Image
22 Review Committee and we all looked at the mammograms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If they were not of quality, we notified the patient
2 that it was not of quality and she needed to come back
3 and have the exam repeated.

4 If there was an abnormal finding we
5 notified the patient of that and got them back in for
6 additional imaging. If you went and notified every
7 patient that the facility had done, and we had this
8 discussion, you just scare women to death.

9 If we say, "The facility that you had your
10 mammogram done at is of inferior quality, although we
11 find your mammogram to be okay," I would think the
12 woman would say, "Well, if it was inferior quality, I
13 want to have it repeated," even though the reviewers
14 looked at it and the image was passable and the exam
15 was negative. I don't think you should scare women to
16 death.

17 MS. VOLPE: But next year when they go for
18 their mammogram, aren't they going to find out that
19 the facility was inferior or that the films were
20 inferior?

21 DR. FERGUSON: I don't know where they
22 will go have their next mammogram or if that facility

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will reopen. One of the facilities we did corrected
2 their errors and was back in business a year later.
3 They went through the recertification process.

4 DR. MONTICCIOLO: You know, I think it
5 depends on the severity. It takes a lot for a
6 facility to flunk an AMR. You have to be really bad.

7 I am concerned about this. I don't want patients
8 necessarily to be concerned but if somebody produces
9 that many bad films in a review, my guess is they are
10 doing bad films regularly.

11 The question is the time limit. Were they
12 doing terrible films three years ago or did they just
13 all of a sudden lose all their good techs and they
14 hired people that maybe weren't so good or maybe the
15 radiologist changed and is willing to accept bad
16 films. I think there has to be some time limit but I
17 have to say in order to really fail an AMR you have to
18 be pretty bad.

19 DR. FINDER: Let me give you a little bit
20 more background on this. Again, at this point we are
21 talking about a facility that has already failed.
22 There is no question. We are talking about what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consider a risk to human health situation. Patients
2 have to be notified. Nobody is arguing about that or
3 bringing that up. The question is how far back do you
4 go and who is involved and who is truly at risk in
5 these situations.

6 Just to give you a sense of what we do,
7 because obviously these situations do arise. They
8 have arisen over the course of the program and we have
9 to make decisions. The facility could be in operation
10 for decades. The concept of going back and notifying
11 all those patients that there was a problem doesn't
12 help anybody.

13 We basically have tried to focus in on an
14 individual basis to determine what time frames really
15 are involved. If there is, for example, a new machine
16 or new tech and we can localize it to that, then we
17 try and localize the patient notification to that. If
18 we can't, we basically look at a two-year span.
19 Assuming that with an annual mammogram being done in
20 most places two years would catch most of the
21 patients.

22 If after two years they haven't come up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with anything, changes are that they are not going to
2 show anything on the old mammogram anyhow, those types
3 of things. There are limits. So just a rule of thumb
4 if we can't localize it any further, we basically
5 figure on a two-year time span and go back and have
6 those patients notified.

7 A lot of this has been worked out over
8 time. It just isn't stated in the regulations and we
9 would like to try and input some of that information
10 here. Again, the details are important and we do try
11 and individualize the situations as best we can if we
12 can.

13 So do I get the general consensus from the
14 committee yes, that we should do some type of limits
15 on these notifications along the lines that I
16 discussed? Show of hands yes? No? I'll take that as
17 a yes.

18 Should the regulations be modified to
19 specifically state that if the facility fails to
20 complete the notification FDA or the state can perform
21 notification through any means available and require
22 reimbursement from the facility. Show of hands yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 No? I'll take that as a yes.

2 I will say that this is a very, very
3 infrequent occurrence but it has occurred and in those
4 cases we have kind of been forced to go out with
5 general public announcements because we didn't have
6 more detailed information to do that.

7 We finished that section. Does anybody
8 have any questions about any of the areas that we just
9 covered? Anybody have any? Okay. We actually
10 finished the activities that we were hoping to get
11 through today. What I would ask the committee if it's
12 okay that we continue on to some of tomorrow's
13 activities, go through those. Because the agenda is
14 out to allow time tomorrow to recap those so if
15 anybody comes in later, they are aware and can bring
16 up issues on those topics without losing that ability.

17 I also would suggest that we start off
18 with actually the last topic for tomorrow rather than
19 the first because I think those will be of more
20 importance to the people who will be coming tomorrow.

21 If we would start with revocation of accreditation
22 body approval, 900.13 all the way to 900.18. Those are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pages 47 through 54, footnotes 136 through 147. Does
2 that seem reasonable to everybody? Okay.

3 We are now on page 48 at the top of the
4 page. We are just going to get this up so everybody
5 can see. There we go. Should this section be
6 rewritten to clarify the differences when all units
7 versus only some units are denied accreditation?
8 Should the differences between initial accreditation
9 and reaccreditation scenarios be clarified?

10 This goes along with some of what we
11 talked about earlier about clarifying what it means to
12 be accredited, what it means to be certified, initial
13 accreditation, reaccreditation, recertification, those
14 types of issues. There is a distinction. The
15 accreditation bodies deal basically with accreditation
16 of units.

17 We deal with certification of facilities.
18 If any unit in a facility is accredited, that
19 facility can be certified. It isn't until they lose
20 all accreditation of all units that their
21 certification status falls into jeopardy.

22 For example, if a facility has two units

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and one fails accreditation and the other one passes,
2 the facility still stays accredited but it should only
3 be using the one unit that passed but it doesn't lose
4 its accreditation because one of its accreditation
5 units has lost its accreditation.

6 Should we rewrite this to clarify that to
7 what I basically just said but hopefully in fewer
8 words? Show of hands yes? No? That's a yes.

9 About the differences between initial
10 accreditation and reaccreditation. I think we've
11 talked about that in the past. Again, show of hands
12 yes? No? Yes to both.

13 Okay. Now we're talking about No. 137
14 which deals with the situation where FDA withdraws the
15 approval of an accreditation body. The question here
16 is should the statement be clarified that even
17 expiring certificates be extended for up to a year
18 when that occurs?

19 This is a situation, for example,
20 accreditation body is withdrawn or runs into trouble
21 with us and we revoke their status. What happens to
22 those facilities that are up for accreditation or have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an expiring accreditation? Will their certificate end
2 because we don't have a situation set up yet for an
3 accreditation body to take over for them?

4 Can we extend or continue to maintain
5 their certificates valid, or should we, until we can
6 get an accreditation body in there to newly accredit
7 those facilities? Show of hands yes? No? It's a
8 yes.

9 I will add that we may not be able to do
10 that because of what the statute says but we'll have
11 to talk with our lawyers and see whether that is a
12 possibility. Again, we're just trying to get the
13 consensus of the committee on this.

14 Should the one-year period be extended if
15 no viable accreditation alternatives exist?

16 DR. TIMINS: Has this happened?

17 DR. FINDER: As I said before, the only
18 accreditation -- we only lost one accreditation body
19 and at that point there was another accreditation body
20 to take over. The situation was a state accreditation
21 body that dropped out and the national DACR was able
22 to -- I wouldn't say easily but was able to take up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the slack and in a period of time was able to get
2 those facilities accredited. There could be
3 situations where more facilities were involved where
4 we wouldn't have that possibility. Who knows? It's a
5 hypothetical. I hope it never happens.

6 DR. FERGUSON: I think there is probably a
7 good chance that we will see it again if we have seen
8 it before. How long would it take to get all of these
9 facilities accredited by a new body? I'm sure that
10 would depend on how many facilities you are talking
11 about so I think there does need to be a period of
12 time to allow for that.

13 DR. MONTICCIOLO: I'm actually interested
14 in what the ACR has to say about it because this says
15 if no viable alternatives. The ACR is a national
16 program so they are there. Like you said, if it's a
17 large number of facilities and if they have to do it
18 all within a year, it would be a crunch. Can we get
19 their input to see how they feel about that?

20 MS. BUTLER: Penny Butler, ACR. We did it
21 with California which was at the time the largest
22 state body and we did it within a year. We worked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very closely with the state and also the FDA but it
2 was doable. Maybe the issue is if the FDA comes to
3 ACR and says you can't be an accrediting body.

4 DR. BYNG: What would the situation be
5 then according to the current interpretation if the
6 situation happened that the change wasn't made?

7 DR. FINDER: Well, if we couldn't find an
8 accreditation body, they are not accredited, they
9 wouldn't be certified, they wouldn't be doing
10 mammography. Next question? No. So yes or no on
11 that? Again, I'm not sure we would be able to do it
12 anyhow.

13 Okay, next page 139. Here we are talking
14 about suspension or revocation of certificates. The
15 question here is should failure to pay inspection fees
16 be a listed cause for suspension? Show of hands yes?
17 No? Any comments?

18 DR. FERGUSON: Part of me says yes, you
19 need to pay your dues and move on but are you really
20 going to help the public interest by closing somebody
21 down that doesn't pay their inspection fee? If there
22 are facilities out there that are struggling and, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't know, maybe can't pay them, do you give them a
2 time payment option?

3 DR. FINDER: The installment plan.

4 DR. TIMINS: What is the certification fee
5 usually?

6 DR. FINDER: There is no certification
7 fee. It's the inspection fee.

8 DR. TIMINS: How much does an inspection
9 fee usually cost?

10 DR. FINDER: It depends on the number of
11 units but I would say for the average facility, which
12 is more than one unit, I think it's 1.5 units, you're
13 probably talking around \$2,000 a year. It's a little
14 over \$1,700 for a single unit and a little over \$200
15 for each additional unit.

16 DR. MONTICCIOLO: I just want to ask how
17 often this happens because I just can't imagine this
18 scenario. This is a good concern you brought up, Dr.
19 Ferguson, about can they afford it because some places
20 it's really expensive for them.

21 I'm thinking about my own administration
22 cutting that check and having some goof ball like lose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the check and then get suspended because they can't
2 count on the administration. I hate to say it but
3 these kind of things happen.

4 DR. FINDER: Let's put this into
5 perspective. We're not talking about the facility
6 that has a late check or inadvertently forgot this.
7 These are situations where they have gotten multiple
8 notifications. They've got the collection agencies
9 and other things. We're not even talking about
10 missing one inspection payment. We're talking about
11 usually multiple years.

12 DR. MONTICCIOLO: If that's clarified
13 that's what you're talking about, then I think they
14 should have to pay it.

15 DR. BARR: In fact, what we could do if we
16 had the language is not renew the certificate at the
17 time of renewal if they weren't current on inspection
18 fees which means they hadn't paid for three years.
19 That is sort of what we were thinking of in general.
20 Wasn't it, Charlie?

21 DR. FINDER: That's certainly one of the
22 aspects to it of getting them at the time of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reaccreditation. However, this is actually in the
2 area of suspension. We do have some facilities out
3 there that haven't paid for periods of time. It
4 actually impacts on the facilities that do. This is
5 one of the issues.

6 I agree with Dr. Ferguson that the last
7 thing we want to do is lose facilities because of this
8 because access is very important. The question comes
9 up is how far do you let this go before you do
10 something about it.

11 DR. FERGUSON: Can you have discretion? I
12 mean, obviously if it's a mammography facility doing
13 very well that just doesn't want to pay, I feel one
14 way, but if it's in an impoverished area doing
15 everything they can to make ends meet, I feel a little
16 different.

17 DR. FINDER: Let me add some of the
18 background. We do have a program of governmental
19 entities that they don't pay at all. That includes
20 facilities that provide more than 50 percent of their
21 work, mammography work, for the CDC programs, for low-
22 income groups and things like that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The idea here is not to put facilities out
2 of business. It's to encourage facilities who do have
3 the ability to pay who just don't want to pay to come
4 up with this. You are right, this has to be taken in
5 context. First of all, there are not that many
6 facilities that do this.

7 A vast majority of facilities pay on time
8 and it's not an issue. The ones that don't kind of
9 set a bad example and if they continue to keep doing
10 this and face no repercussions, the facilities start
11 to say to themselves, "Why am I paying?"

12 DR. TIMINS: I think it's impressive that
13 there is a program for facilities that do a high
14 percentage of CDC cases to have a reduced or fee
15 forgiveness. It's obvious to me that I have to pay
16 for my medical license in order to practice medicine,
17 I have to pay my malpractice insurance in order to be
18 insured, and I have to pay my mortgage payments if I
19 want to have a house over my head.

20 DR. FINDER: Show of hands yes? No?
21 Split. Okay. Should continued use of an unaccredited
22 mammography unit be a cause for suspension? Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Here, again, we could be talking about a spectrum of
2 situations. We could be talking about facilities for
3 the space of two or three days through paperwork error
4 forgot to get their unit accredited.

5 Letters didn't go out in time. That's one
6 thing. We're not talking about that situation. What
7 I'm talking about here is the situation where a
8 facility has been specifically notified not to use a
9 unit because it's unaccredited and they continue to do
10 that. That has occurred. Question here is under
11 those types of situations should we proceed or
12 specifically state to go for a suspension of their
13 certificate?

14 DR. FERGUSON: I 100 percent agree that
15 they should be suspended. I just ask that when this
16 is written, again, I just got back from another panel
17 and they emphasized how much when you put out
18 guidelines they became rules and they are strictly
19 interpreted in the field.

20 I would hope that you would put in there
21 that it's not for the people that are two or three
22 days because of paperwork. I hope that is very clear.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But they ought to be suspended under the scenario you
2 stated.

3 DR. FINDER: Okay, show of hands for yes?

4 No? That's a yes. Okay.

5 Should facility denial of suspension of
6 revocation of accreditation be a cause for suspension
7 of the certificate without a hearing? Most
8 suspensions and other type of actions require a
9 hearing before any action is actually taken. If the
10 accreditation has been either denied, suspended, or
11 revoked, should that be a cause for suspension without
12 a hearing?

13 DR. SANDRIK: Is there an implication
14 there that the accreditation bodies have some sort of
15 hearing procedure they would have gone through before
16 the accreditation was revoked or whatever?

17 DR. FINDER: They do have those appeals as
18 part of their process. Actually, now that I look at
19 this question, it has different significance than when
20 I first thought it did when I wrote it. Things
21 change. If a facility is denied accreditation, right
22 now what happens depends on their current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certification status.

2 If they are a provisional facility,
3 meaning they actually don't have accreditation, they
4 are applying for accreditation, they are under that
5 six-month certificate, in that situation if the
6 accreditation body denies the accreditation, the
7 certificate is basically null and void at that point
8 because there is no underlying basis for accreditation
9 backing the certificate. It disappears.

10 If, however, they are a fully accredited
11 and certified facility, they've got a three-year
12 certificate, if the accreditation body denies the
13 future, the new accreditation, the reaccreditation,
14 that certificate remains in effect until its
15 expiration date. They don't have to shut down
16 immediately.

17 The rationale behind that is that even in
18 an AMR type situation where we've got all these
19 terrible problems, we have to take a suspension
20 action. We have to do an action and allow the
21 facility the right to appeal here.

22 We have been told by our lawyers we cannot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tell a facility just because it failed to get a future
2 accreditation that it's current certificate is
3 declared null and void just on the basis of that
4 denial.

5 This question actually should have been
6 divided up and we should look at it as should denial
7 of accreditation be a cause for suspension of the
8 certificate or a dropping of that certificate without
9 a hearing?

10 In that sense, we have already discussed
11 this with our lawyers and they pretty much have told
12 us that we can't automatically do it. We would have
13 to go for suspension of some kind and that would have
14 to be based on significant problems with the facility.

15 Let me take denial out of that question.
16 Let's go with just suspension or revocation of
17 accreditation. Should that be a cause for suspension
18 of the certificate without a hearing? Yes.

19 MS. VOLPE: I think that if it's a
20 significant health or safety issue, then it should be
21 suspended until after a hearing is held.

22 DR. FINDER: That is where we are trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to go with this type of a question. A denial of
2 accreditation means that the facility hasn't produced
3 images that pass the quality standards for
4 reaccreditation.

5 It does not mean that the images that were
6 submitted were evaluated and found to be a risk to
7 human health. The situation that we are deal with the
8 AMR where we take the patient notification and
9 basically we do pull their certificate at that time
10 because it's found to be that bad.

11 But in a denial of accreditation, all it
12 is is that the images didn't pass the accreditation
13 standard, the high standard. That is one of the
14 reasons why that is not an issue in terms of
15 suspending the certificate.

16 The other, though, is if the accreditation
17 body has found reason to suspend or revoke the
18 accreditation for whatever reason, that usually is
19 because of a failed AMR so we are dealing with this
20 risk to human health, should that be a cause for
21 suspension without a hearing.

22 The situation that comes up here, and this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has happened, an accreditation body has revoked the
2 accreditation because of a failed AMR, we then declare
3 that the certificate is either no longer in effect or
4 try to get it suspended and then the facility appeals,
5 they ask for an appeal.

6 That kind of puts a damper on what we can
7 do at that time. It kind of puts a stop to that. I'm
8 not sure the lawyers would even allow us to do this
9 but, again, I want to get the sense from the committee
10 should we try and say that if those facilities have
11 their accreditation suspended or revoked that we would
12 place this into a category where we would suspend
13 their certificate without a hearing. That would shut
14 them down quickly.

15 DR. FERGUSON: And I would agree with her.
16 If it's a risk to human health, we should immediately
17 prevent them from doing mammography. It says they do
18 have a right of appeal. I think there ought to be a
19 period of time that you have to give them a hearing.
20 I mean, you don't want to be hanging out there for a
21 year.

22 DR. FINDER: They have an opportunity for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an information hearing within a certain time frame of
2 60 days. This would shut them down in the meantime.
3 I'm just trying to get a sense from the committee
4 would that be yes for that? Again, I'm not sure we
5 can actually get that through but we can certainly
6 try. Okay, that was a yes overall for that for 141.

7 Now, No. 142. Should a regulation
8 consistent with the statute be included indicating
9 that owners of the facility with a revoke certificate
10 can't operate a mammography facility for two years.
11 That type of language is actually in the statute. It
12 would just be a question of clarifying inputting in
13 the regulations here.

14 DR. FERGUSON: Would you give me an
15 example of that? I'm not sure that I understand.

16 DR. FINDER: Okay. We haven't revoked
17 anybody's certificate during the course of the
18 program. However, this would be reserved for
19 situations where, for example, I think one of the ones
20 that we might go ahead and do something like this
21 would be facility failed an AMR, we required the
22 patient notification to be performed and the facility

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 refused.

2 We had to go ahead with a patient
3 notification without the facility and we have to make
4 a general announcement. In that type of situation I
5 think we would try and go and revoke the certificate
6 so that that person would not be able to own or
7 operate another mammography facility for two years.

8 That situation pretty much did occur where
9 the facility refused to notify and we had to go and
10 notify the public on our own but we did not go ahead
11 and revoke because this person was not going to ever
12 operate another facility again so there wasn't really
13 a need to stop them.

14 That would be the type of situation that
15 we would be talking about. Again, that is allowed by
16 the statute. It's only a question of adding the
17 language into the reg. We have the authority to do it
18 anyhow.

19 DR. FERGUSON: So if this owner, I think
20 it says owner, if it was a hospital chain and you had
21 a small hospital in a chain and there was an
22 administrator or somebody that didn't want to play

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ball with you and they messed up, then you are saying
2 that whole hospital chain wouldn't be able to perform
3 mammography anywhere for two years.

4 DR. FINDER: That's a good incentive, not
5 to mess up.

6 DR. FERGUSON: I don't disagree.

7 DR. FINDER: I can tell you that it's not
8 one individual who can do that. I mean, the
9 notification is not just the one person that we would
10 be dealing with. In a large organization like this
11 you'd basically be dealing with the entire corporate
12 structure and they would all have to basically make
13 the assumption or the decision not to do what was
14 required.

15 This certainly would be a deterrent to a
16 large organization. I can't imagine that anybody
17 would do it in that type of situation. I think more
18 likely the situation is the single facility where the
19 owner basically has abandoned the facility and wants
20 to forget about this facility that they've got all
21 these problems with but start up another one with a
22 different name on it. The purpose of this is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prevent them from trying to do that.

2 MR. DIVINE: My name is Mike Divine. I'm
3 Chief of the Inspection and Compliance Branch.
4 Basically the owner operator would probably boil down
5 to the person who we could identify as being
6 responsible for the violation.

7 For instance, if it was a hospital and it
8 was the administrator of the hospital and that person
9 is giving orders to people that weren't necessarily in
10 agreement and that person was named as the person that
11 couldn't own or operate for two years, chances are if
12 they replaced that person, it wouldn't affect the rest
13 of the people at the facility.

14 It really would boil down to individuals
15 most likely would be named. If there was more than
16 one person who was identified as connected with the
17 violation it could extend to other people but not
18 likely to everybody associated with the facility.

19 DR. FINDER: Okay. So show of hands yes
20 for that one. Yes? No? I'll take that as a yes.

21 143 deals with appeals of adverse
22 accreditation or reaccreditation decisions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preclude certification or recertification. Should
2 this section be rewritten to separate out appeals of
3 adverse accreditation decisions from appeals of
4 adverse certification decisions?

5 Again, we have a lot of confusion about
6 accreditation certification. This is an attempt to
7 try and clarify exactly what we're talking about when
8 we're talking about it. A show of hands for yes. No?
9 I'll take that as a yes. Okay.

10 On page 51, No. 144. Should we include a
11 separate section dealing with causes for denials of
12 certification? This would be kind of a listing of
13 various reasons why we would deny certification. We
14 have reasons in for suspension. We have reasons in
15 for revocation. Should we have a separate section for
16 denials of certification?

17 This would be a situation, for example, a
18 facility was accredited. Went through the
19 accreditation process, was given accreditation of some
20 kind, or was applying for a provisional certificate
21 and for various reasons we felt that there was a
22 history with this facility that the problems had not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been corrected, problems with us that wouldn't
2 necessarily be accreditation decisions.

3 Would we be in a situation such as that
4 that we would deny the certificate? I'm trying to
5 think of a case where that might happen. Could be a
6 situation where, for example, the facility met all the
7 quality standards but we were aware that they weren't
8 issuing reports so that might not show up on your
9 accreditation side of it but we were having problems
10 with either the release of reports or storage of films
11 or something like that.

12 Again, the facility was not able to
13 correct those problems. In those types of situations
14 we would want to deny the certificate even though they
15 might have an accreditation approval. We would,
16 again, put in a section here that would describe those
17 types of situations. Yes, show of hands? No? No?
18 Okay.

19 DR. FERGUSON: No, I wanted to comment.

20 DR. FINDER: Okay.

21 DR. FERGUSON: I know we want to move on
22 but it almost seems to me the way you explained it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, "We don't like you. We don't think the way
2 you've been doing it. We're not going to give you a
3 certificate. Doesn't matter what." Most of your
4 judgment it seems to me would be based on a field
5 inspector's report who may or may not get along with
6 the people in that facility. Help me.

7 DR. FINDER: Well, I think the purpose of
8 this would be, again, to establish under what
9 scenarios or conditions we would deny a certificate.
10 Right now there are no standards for that. I would
11 say that before we take an action like this there's a
12 lot of effort that goes into it, a lot of back and
13 forth with the facility.

14 Facilities are given every chance to
15 correct whatever problems are found. It's not the
16 typical situation where the inspector goes in, finds a
17 violation of some kind and we are going to deny
18 certification on the basis of that. That is really
19 not the type of situation we're talking about but,
20 again, this would be clarified presumably in the
21 wording here.

22 DR. FERGUSON: Will we have an opportunity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to see the wording before this goes further?

2 DR. FINDER: Right. Again, the plan for
3 all this is to take your comments here, develop a
4 detailed draft amendment to the regulations, and then
5 we would publish it for comment. The hope would be
6 that we would have another meeting during that open
7 public comment period so we will be getting all your
8 comments in at that point about this.

9 This is just, again, an idea of should we
10 be changing certain areas and what direction should we
11 be going. As has been pointed out many, many times,
12 the details are extremely important because a word
13 change here and there can mean a lot.

14 Once the draft is made available and after
15 public comment, there is going to be a lot of going
16 back and forth on some of these issues. That is
17 important. Let's try again on this one. Yes? No?
18 Looks like a yes.

19 145, alternatives standards. As I
20 mentioned earlier in the beginning of the day, which
21 seems like many years ago, as currently written we can
22 approve alternative standards for Section 900.12 which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are the facility quality standards.

2 Should we extend this to include
3 alternatives to the accreditation body and state
4 certification agency regulations which would be
5 Sections 900.4 and 900.22? Show of hands yes?

6 DR. BYNG: Can you help clarify some of
7 the implications of making that change then?

8 DR. FINDER: Yes. For example, we did
9 have a case where an accreditation body requested a
10 change in one of the requirements in their standards.

11 They actually asked for an alternative standard for
12 it.

13 We were not able to even evaluate whether
14 that seemed reasonable or not because it wasn't in the
15 section of 900.12. It was in Section 900.4 and, as I
16 say, is currently written alternative standards can
17 only be applied to the 900.12 section, facility
18 standards.

19 The question is should we have the same
20 flexibility we have for facilities with the
21 accreditation bodies and certification agencies? If
22 they come across something that they feel is important

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or can be done easier or better, should we have the
2 ability to evaluate that and approve an alternative in
3 that situation?

4 DR. BYNG: But you are specifically
5 looking at 900.4 and 900.22?

6 DR. FINDER: Right, because right now the
7 only thing that has an alternative standard to it is
8 900.12 which is the use section on facility standards.

9 DR. BYNG: So help me with what 900.4 is.

10 DR. FINDER: It's the accreditation body
11 standards and 900.22 is the certification standards.

12 DR. BYNG: Okay.

13 DR. FINDER: State certification. Okay.
14 Knowing that as background should we try and move
15 ahead with that? Yes? No? Looks like a yes.

16 Okay. The next is on page 53, No. 146.
17 This also deals with approved alternative standards
18 and it basically states where we will put an approved
19 alternative standard. It talks about the dockets
20 management branch in the Federal Register.

21 With the increasing use of the internet
22 and the fact that we put almost everything else up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our webpage, the question here is should we try and
2 change this to instead of putting it in those areas
3 put them up on the web instead.

4 Again, I'm not sure we can actually do
5 this legally. I'm going to have to talk with the
6 lawyers but, again, I want to get the sense from the
7 committee of would they think that placing it on the
8 web is sufficient to make these notices available.
9 Again, all the alternative standards end up on our
10 website anyhow right now. They are included as part
11 of the policy guidance help system.

12 MS. SEGELKEN: Is this instead of or in
13 addition to?

14 DR. FINDER: What we are looking for right
15 now is instead of.

16 MS. VOLPE: I think it would be fine just
17 to do it in addition to.

18 DR. FINDER: That is pretty much what we
19 are doing right now. The question is is that
20 necessary. I don't know if that many people would
21 actually get the Federal Register delivered home
22 delivery. Again, we are just trying to get a sense

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the committee should we do that or not. Show of
2 hands should we just say the web? Okay. Or not? No,
3 we should include it in the Federal Register. Okay.
4 Kind of split.

5 DR. SANDRIK: Just offer a comment there.

6 I think you have this troublesome aspect of looking
7 at today's technology and putting that into a
8 regulation I don't think is a particularly good idea
9 in general. I mean, if you wanted to say expand
10 through publicly accessible communications means or
11 something along that line I think makes more sense
12 than identifying the web.

13 In fact, something more publicly
14 accessible than the Federal Register can also be
15 helpful but pinning on today's technology I don't
16 think is a good idea to put in the regulation.

17 DR. FINDER: Okay. That's a good point.

18 Next one is should we modify the
19 regulation to say should the basis for the approval
20 rather than the application itself be made available
21 to the public? Sometimes there's material written in
22 the application that is of a confidential nature so we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are wondering if we can modify this just to say the
2 basis for the approval. Yes? No? Take that as a
3 yes.

4 DR. TIMINS: What kinds of things would be
5 confidential?

6 DR. FINDER: For example, let's say a
7 manufacturer was putting in a request for an
8 alternative standard. They may be submitting
9 information to us that was obtained on their units in
10 a certain way under certain conditions that they might
11 not necessarily want to be spread out on the web but
12 they might not care about the Federal Register. I'm
13 just kidding.

14 DR. SANDRIK: Yes, I would agree. I think
15 when you read the requirements for the alternative
16 standards several times, FDA is asking for data to
17 support the standard and several that we have written
18 does provide some data that we feel is proprietary
19 data for our equipment that we don't want necessarily
20 to be made public.

21 We agree that the data should be provided
22 to support the argument so we would appreciate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maintaining that confidentiality of data that we
2 identify as commercially proprietary.

3 DR. FINDER: Show of hands for yes? No?
4 Okay. I'll take that as a yes.

5 We finished that section. Anybody have
6 any additional comments they want to include on that?
7 Okay.

8 DR. HENDRICKS: What do you propose in
9 terms of the schedule?

10 DR. FINDER: I suggest we take a five-
11 minute break so we can discuss what we should do with
12 the rest of the schedule.

13 DR. HENDRICKS: Agreed. We'll take a
14 break then.

15 (Whereupon, at 4:59 p.m. the above-
16 entitled matter went off the record and resumed at
17 5:10 p.m.)

18 DR. HENDRICKS: After some discussion
19 we've decided to adjourn for this evening and then
20 reconvene tomorrow morning at 8:00.

21 (Whereupon, at 5:10 p.m. the meeting was
22 adjourned.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701