

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

VACCINES AND RELATED BIOLOGICAL PRODUCTS  
ADVISORY COMMITTEE  
OPEN SESSION

Thursday, January 30, 1997

8:12 a.m.

Holiday Inn Bethesda  
Versailles I and II  
8120 Wisconsin Avenue  
Bethesda, Maryland

## PARTICIPANTS

Patricia L. Ferrieri, M.D., Chairperson  
Nancy Cherry, Executive Secretary

## MEMBERS

Adaora A. Adimora, M.D.

Michael A. Apicella, M.D.

Robert B. Belshe, M.D.

Mary Lou Clements-Mann, M.D.

Kathryn M. Edwards, M.D.

Mary P. Glode, M.D.

Caroline B. Hall, M.D.

Paul D. Meier, Ph.D.

Alison D. O'Brien, Ph.D.

## FDA SPECIAL GOVERNMENT EMPLOYEES

Dr. Claire B. Broome

Dr. Robert Couch

Dr. Claudia Dade

Dr. Theodore Eickhoff

Dr. Arthur L. Reingold

## GUEST

Dr. Edwin K. Kilbourne

FDA

Dr. Roland Levandowski

## C O N T E N T S

	Page No.
Call to Order: Nancy Cherry	5
Session 1 - Influenza Virus Vaccine Formulation	
Introduction: Dr. Roland Levandowski	7
U.S. Surveillance: Dr. Keiji Fukuda	9
World Surveillance and Strain Characterization: Dr. Helen Regnery	14
International Reports:	
Dr. Maria Zambon	35
Dr. Alan Hampson	45
Dr. Kuniki Nerome	52
Molecular Analysis of Strains: Dr. Nancy Cox	57
Vaccine Responses: Dr. John Wood	66
Availability of Strains and Reagents: Dr. Roland Levandowski	75
Comments from Manufacturers	79
Options for Strain Selection: Dr. Roland Levandowski	82
Committee Discussion and Recommendations	89
Session 2 - Lab Report	
Overview of Laboratory of Mycobacteria: Dr. Michael Brennen	131
Session 3 - CLOSED SESSION	
Session 4 - Update Briefings	
Update on ODAC Meeting: Dr. Jeanne Novak	144
Update on SV40 Workshop: Dr. Andrew Lewis	159

Update on Future Vaccines Subcommittee:  
Dr. Mary P. Glode 184

Regulatory Update: Dr. Norman Baylor 189

Open Public Hearing 193

1                   P R O C E E D I N G S

2           DR. FERRIERI: Good morning, everyone. I would  
3 like to welcome all of you to our meeting of the Vaccines  
4 and Related Biological Products Advisory Committee Meeting.  
5 I think we can start by doing our introductions around the  
6 table, starting with Dr. O'Brien.

7           DR. O'BRIEN: Dr. Alison O'Brien, Uniformed  
8 Services Medical School in Bethesda.

9           DR. BELSHE: Dr. Robert Belshe, St. Louis  
10 University.

11          DR. GLODE: Dr. Mimi Glode, University of  
12 Colorado, Denver.

13          DR. EICKHOFF: Dr. Ted Eickhoff, University of  
14 Colorado.

15          DR. COUCH: Dr. Robert Couch, Baylor College of  
16 Medicine.

17          DR. CLEMENTS-MANN: Dr. Mary Lou Clements-Mann,  
18 Johns Hopkins.

19          DR. FERRIERI: Patricia Ferrieri, University of  
20 Minnesota Medical School, Minneapolis.

21          DR. HALL: Dr. Caroline Hall, University of

22 Rochester, New York.

23 DR. EDWARDS: Kathy Edwards, Vanderbilt

24 University.

25 DR. MEIER: Paul Meier, Columbia University.

1 DR. DADE: Claudia Dade, Elmhurst Hospital, New  
2 York City.

3 DR. REINGOLD: Art Reingold, University of  
4 California, Berkeley.

5 DR. LEVANDOWSKI: Roland Levandowski, Center for  
6 Biologics Evaluation and Research.

7 DR. FERRIERI: Thank you very much.

8 I thought we would begin by paying a tribute to  
9 Jack Gertzog, who many of you knew and many of us here on  
10 the committee worked with Jack in our past rotations on the  
11 committee. Jack, who was director of the Scientific  
12 Advisers and Consultant Staff, died on December 13th.

13 He will be remembered by many of us for his  
14 devotion and activities on behalf of all of these advisory  
15 committees and how he interacted with us.

16 I would like you all to stand for a moment of  
17 silence in tribute to Jack.

18 [Moment of silence.]

19 DR. FERRIERI: Thank you very much.

20 I will turn the meeting over now to Nancy Cherry  
21 for some of the basic issues and administrative matters.



22                   Call to Order

23           MS. CHERRY: My statement is short this time.

24           This announcement is made a part of the record at

25 this meeting of the Vaccines and Related Biological Products

1 Advisory Committee on January 30th, 1997. Pursuant to the  
2 authority granted under the committee charter, the Director  
3 of the FDA Center for Biologics Evaluation and Research has  
4 appointed the following individuals as temporary voting  
5 members for the discussion of the influenza virus vaccine:  
6 Drs. Broome, Couch, Eickhoff, and Snider.

7       It should be noted that Dr. Dixie Snider, who we  
8 just named, could not be with us today.

9       Based on the agenda made available, it has been  
10 determined that all committee discussions at this meeting  
11 including the formulation of the influenza virus vaccine for  
12 the 1997-98 season, the review of a research program, and  
13 updates of recent activities in the Center for Biologics  
14 Evaluation and Research present no potential for a conflict  
15 of interest.

16       In the event that the discussions involve specific  
17 products or firms that are not on the agenda, and for which  
18 FDA participants have a financial interest, the participants  
19 are aware of the need to exclude themselves from such  
20 involvement, and their exclusion will be noted for the  
21 record.

22           With respect to all other meeting participants, we  
23 ask in the interest of fairness that they address any  
24 current or previous financial involvement with any firm  
25 whose products they wish to comment on.

1 DR. FERRIERI: Thank you, Nancy.

2 As many of you know from looking at the agenda, we  
3 will be spending all morning on the influenza virus vaccine  
4 formulation. I would like to bring everyone's attention to  
5 the fact that we have a very tight agenda and everyone who  
6 is speaking must absolutely adhere to the time that has been  
7 allotted to them.

8 I will now turn the meeting over to Dr. Roland  
9 Levandowski from FDA.

10 Session 1 - Influenza Virus Vaccine Formulation

11 Introduction

12 DR. LEVANDOWSKI: Thank you, Dr. Ferrieri.

13 Dr. Ferrieri, committee members, ladies and  
14 gentlemen. I would also like to welcome you to Bethesda. I  
15 think most of you who are here have probably been here  
16 before and know what the agenda of business is for this  
17 morning.

18 What we need to do is to start the process of  
19 selecting the strains that go into the vaccine, the  
20 influenza virus vaccine used for the United States for the  
21 coming year. Just by way of a small review, I would just

22 like to remind everybody that we went through this process

23 last January, began it.

24       At the completion of the process, the vaccine

25 formulation that had been selected included the strains

1 B/Harbin/07/94, A/Texas/36/91 as the H1N1 influenza A  
2 component, and A/Nanchang/933/95 as the influenza A/H3N2  
3 component.

4 Subsequently, those vaccines have been made,  
5 distributed, and are being used now, and as you will hear,  
6 we are in the midst of a fairly substantial epidemic of  
7 influenza.

8 The information that we will be addressing to try  
9 to make strain selections will include information on the  
10 antigenic properties of newly circulating strains. In  
11 addition, the surveillance and epidemiology of those strains  
12 with information about the spread and impact of them in  
13 human populations will be evaluated, and finally,  
14 information on the serologic responses of individuals who  
15 have been immunized with the current vaccines will be used  
16 to try to understand whether changes need to be made in the  
17 current vaccine formulation.

18 There are handouts that are available or may have  
19 been available. If there are individuals who would like to  
20 have those, who didn't get them, we will need to hear about  
21 that after the fact. We won't be able to make any more of

22 them at the current time.

23       Just by way of housekeeping, there will be a break

24 that is taken, but as Dr. Ferrieri has already pointed out

25 to us, the time is very short for us to do all of the

1 business that we have to do, and I would appreciate greatly  
2 if people would scurry back here. I will warn you that we  
3 may be starting without everybody present if they are not  
4 here at the time the presentation needs to begin.

5 I would also like to remind our speakers that we  
6 do need to be brief and to the point, and stick to our time  
7 limits in order to squeeze in what is a very full agenda of  
8 business.

9 This year we are very pleased that we have a  
10 number of international guests who will be giving us reports  
11 on what is happening in their own home countries, and I  
12 think that this information will be very important  
13 supplemental information to what we are hearing about for  
14 the United States.

15 So, having said that, we will get started. I will  
16 ask Dr. Keiji Fukuda if he will approach the microphone and  
17 get us started on U.S. surveillance.

18 U.S. Surveillance

19 DR. FUKUDA: Good morning. I am going to discuss  
20 U.S. surveillance in a few minutes, and I will try to be  
21 very brief.



22           The take-home message is that the influenza season  
23 or the influenza activity in the United States appears to  
24 have peaked in the latter part of December and the early  
25 part of January, and we are on the down slope of activity,

1 however, we have not yet peaked in terms of influenza-  
2 related mortality or death.

3 [Slide.]

4 As you can see from this graph here, we have three  
5 different parameters that we follow in the influenza  
6 surveillance system, the top one being isolates which are  
7 identified during the season; the second one being  
8 influenza-like activity reported by states; and the third  
9 one being influenza-like illness reported by sentinel  
10 physicians. I will go over these in a little bit more  
11 detail.

12 [Slide.]

13 As of January 18th of this year, approximately a  
14 little over 20,000 respiratory specimens had been tested for  
15 respiratory viruses, and of these, a little under 4,000 were  
16 positive for influenza. The vast majority, 97 percent of  
17 these, have been influenza A shown in the red and the white  
18 bars. Of those that have been subtyped, all have been  
19 influenza A(H3N2).

20 You can see capping the bars are these little  
21 yellow blocks, and these represent influenza B or 3 percent

22 of the total. You can see that these have increased a  
23 little bit in the latter half of the season, but it really  
24 makes up a very small proportion of the entire number.  
25 [Slide.]

1 In terms of influenza activity reported by the  
2 states, again, you can see it really peaked around the  
3 latter part of 1996, and that at the peak of reporting, 38  
4 states were reporting either widespread or regional  
5 influenza activity in their states.

6 [Slide.]

7 In terms of influenza-like illness being reported  
8 by approximately 140 sentinel physicians in the United  
9 States, this peaked at about 5 to 7 percent again during the  
10 latter part of December and early part of January.

11 [Slide.]

12 Now, unlike the measurements for morbidity, we  
13 have not seen mortality peak yet. This typically lags  
14 behind morbidity by about two to five weeks or so, and right  
15 now or as of January 18th, influenza-related mortality, P&I  
16 mortality, was at about 8.6 percent.

17 You can see or some of you can see that there is a  
18 sort of sinusoidal wave, and this represents the expected  
19 number of pneumonia and influenza-related deaths if there is  
20 not an epidemic, and you see from the bold yellow line that  
21 it has exceeded that threshold.

22           The previous peak over here represents the 1993-94

23 season, which was also predominantly an H3N2 season.

24           [Slide.]

25           Now, in terms of geographic distribution,

1 influenza really has been widespread through the country.  
2 This map here shows influenza activity reported by the  
3 states, and this was as of November 9th. The yellow  
4 represents regional activity, red represents widespread  
5 activity. So, you can see that in mid-November we really  
6 had little influenza-like activity being reported.

7 [Slide.]

8 By a month later, in early December, early mid-  
9 December, we can see that activity had begun picking up  
10 largely all over the country and no particular concentration  
11 in one geographic region.

12 [Slide.]

13 Then, around the week of January 4th, which was  
14 about peak influenza activity, you can see that it was  
15 really being reported all over the country at fairly high  
16 levels.

17 Anyway, I think that I will conclude there. I  
18 don't know if you take questions now or after, however you  
19 want to do it.

20 DR. LEVANDOWSKI: If the committee has any  
21 questions, they certainly should raise them at a convenient

22 time, which might be now.

23 Dr. Couch.

24 DR. COUCH: Actually, it is relatively minor, just

25 a clarification question. How do you define the states as

1 regional or sporadic or widespread?

2 DR. FUKUDA: The states basically have a menu on  
3 how they can report influenza activity, and it is four  
4 different levels or three different levels: no activity,  
5 sporadic activity, widespread activity, or regional  
6 activity, which is defined as influenza-like illness being  
7 reported in counties making up less than 50 percent of the  
8 entire state population, and then widespread activity is  
9 reported as influenza in counties representing more than 50  
10 percent of the state population.

11 DR. COUCH: To what extent was the physician  
12 network set up to try and be representative of the entire  
13 country, the sentinel physician network representative of  
14 the entire country?

15 DR. FUKUDA: Well, I wish I could say that it  
16 really was. It probably really isn't. It is 140  
17 physicians, and that is insufficient to cover the country.  
18 What we would like to see is that number increase, so it is  
19 a little bit more representative.

20 DR. COUCH: But they are widely dispersed in the  
21 country.



22 DR. FUKUDA: They are widely dispersed throughout

23 the country.

24 DR. LEVANDOWSKI: Thank you, Keiji.

25 We will move on. Dr. Helen Regnery from CDC will

1 give us some information about world surveillance and strain  
2 characterizations.

3 World Surveillance and Strain Characterization

4 DR. REGNERY: I would like to join Roland in  
5 saying welcome to come of our international visitors today.  
6 I think it is really great that we can have international  
7 visitors at this meeting to give us an emphasis on local  
8 influenza.

9 Today, I will update you on the antigenic analysis  
10 of influenza viruses, the recent strains that we have  
11 gotten, and the frequency of isolation both in the U.S. and  
12 worldwide.

13 I will start with influenza B and then I will go  
14 to H1 and then H3. The handout has all the transparencies  
15 that I will be using today, and for those folks who are in  
16 the back, I think we have a large number of handouts this  
17 time, so everyone should be able to follow the HI tables in  
18 the handout, because I know you are not going to see them  
19 from up here.

20 [Slide.]

21 The frequency tables that we have put together

22 began in October 1995 and span through the influenza season  
23 and going through the summer months of the year and ending  
24 up with our current time period.

25       In the U.S. last year, we had sporadic isolations

1 of influenza B pretty much all year except for one month  
2 here in July. We had an outbreak reported at a university  
3 and a nursing home during this period of time.

4 In Canada, there was a minimal amount of influenza  
5 B, as well as in Europe, there was some outbreaks, but very  
6 little influenza B. Europe is reporting now sporadic and  
7 outbreak material. In Romania, we have an outbreak of  
8 influenza B, as well as in Spain, we have an outbreak of  
9 influenza B.

10 South Africa, Australia, and New Zealand have been  
11 reporting sporadic isolations of influenza B during their  
12 flu season, as well as Central and South America.

13 Asia, we have again sporadic occurrence of  
14 influenza B throughout the year with a couple of epidemics.  
15 The B outbreak here in December is in China.

16 [Slide.]

17 We will go next to the HI. What I would like to  
18 do this year, so that the visual is a little bit larger, all  
19 of the HI results that I will be showing were done on the  
20 same date, but I am splitting them up, so that perhaps we  
21 can see them a little bit better.

22           This is our reference battery for the influenza B  
23 viruses. B/Guangdong/05/94 is a B/Victoria-like virus. We  
24 have included Guangdong/05 in our reference battery for a  
25 couple or three years now when there was an increase in

1 isolation rate in China and also in Hong Kong.

2       We have not identified any B/Victoria-like viruses  
3 to date outside of China and Hong Kong, but we do keep it in  
4 our battery. We keep also the B/Panama/45 vaccine strain,  
5 the Beijing/184 recommended vaccine strain, as well as the  
6 vaccine strain itself B/Harbin/07.

7       We also have included recently B/Nanchang/24/96.  
8 This is a recent isolate from China, and was picked for  
9 serologies to have an updated strain. We have not been  
10 seeing a lot of antigenic variation with the B viruses, but  
11 to be able to understand what is going on, we will usually  
12 pick a recent strain for the serologies, and also put it  
13 into ferrets for your HI comparison with your current  
14 strains.

15       B/Russia/22 is another recent virus, as well as  
16 the last B virus -- well, not the last -- but B/Alaska/12 is  
17 representative of the U.S. strains for this year.

18       You will see that Victoria viruses are very  
19 distinct from the Yamagata lineage B viruses. We have been  
20 having most of our viruses in the Yamagata lineage for  
21 several years now. You can tell the differences between

22 Panama and Beijing and Harbin in that the homologous titer

23 is reduced with Panama antisera.

24 In all of our tests, Beijing/184 and Harbin were

25 essentially identical. The Nanchang that we used this year

1 produced a high antibody titer in the ferrets, but it still  
2 is very much like the Harbin strain. If you compare Harbin  
3 and Nanchang/24 antigenically, they are pretty much  
4 identical.

5 The Russian strain did not produce as well  
6 antibody in ferrets, and the titers are a little bit lower,  
7 but again, the Russian strain is antigenically like our  
8 Beijing/184 and Harbin/7. The same is true for Alaska/12.

9 Now, on all of my slides, I will have one star  
10 indicating the serological antigens that you will be hearing  
11 about later on, so Guangdong, Beijing, Harbin/07, Nanchang  
12 were included in the CDC serologies.

13 In addition, as we discuss the viruses, Nancy Cox  
14 will be presenting the genetic data for these viruses, and  
15 we put two stars by this, so that you can relate the  
16 antigenic character to the genetic tree.

17 [Slide.]

18 This shows the group of test viruses that we used  
19 for demonstration for the influenza B viruses. We have this  
20 group of viruses from the U.S. collected May, June, and  
21 October and December, and you can tell that they are well



22 inhibited by the Beijing/184 antisera with the homologous  
23 titer of 640, as well as with the Harbin/07 antisera.  
24       We have one recent strain that we received from  
25 Maria Zambon in England, and it is Beijing/184, Harbin/07-

1 like also.

2       The recent strains that we have been looking at  
3 from Asia, that we have received, and were collected in  
4 June, September, and June again from China, Hong Kong, and  
5 Taiwan, again are very similar strains and are very well  
6 covered by Beijing/184.

7       The summertime brings us to the Southern  
8 Hemisphere for epidemic activity, and this group of viruses  
9 is from this past year, in September and August for Brazil,  
10 as well as the 1996 season in New Zealand. Again, the  
11 viruses are homogeneous.

12       Now, we still are receiving viruses that are  
13 clearly Victoria-like. These are some of the latest ones  
14 that we received on May and June. Here, you can see that  
15 the Hong Kong/70 is reduced from a homologous of 64, and  
16 leads us to believe that these viruses are constantly  
17 evolving, just as the Yamagata lineage is evolving.

18       [Slide.]

19       If we take a look now at the B viruses that we  
20 characterized in our laboratory last year, it is on page 11,  
21 you can easily see that there is not as many influenza B

22 viruses hardly at all that we have been able to characterize  
23 this year. Only five isolates actually have been included  
24 in our tests from the U.S. However, we have got quite a few  
25 viruses coming in now for influenza B, just as Keiji was

1 showing a little bit of a blip for flu B.

2 Last year, most all of our viruses except for one  
3 were Beijing or Harbin-like, and during the summer months,  
4 we still had, as you saw on the worldwide activity, we had  
5 quite a few sporadic isolates in the U.S., and it totaled 66  
6 that we analyzed during the summer months this year.

7 Europe, again, is Beijing-like viruses, and Asia,  
8 the one isolate of Victoria and then 26 isolates of  
9 B/Harbin. Most of the Victoria-like viruses have been  
10 coming from the southern part of China, and we had 18  
11 Victoria-like viruses collected during April to September of  
12 1996 last summer in comparison to 19 of the Beijing-Harbin  
13 lineage.

14 Central and South America, we only had 9 isolates.  
15 Again, they were Beijing-Harbin-like, and as well in the  
16 Southern Hemisphere, only 2 isolates.

17 I think the influenza B viruses are  
18 straightforward antigenically. We are not seeing a lot of  
19 changes, and the activity level is fairly moderate to low  
20 worldwide.

21 Are there any questions on B viruses?

22 DR. BELSHE: Could I ask you a question on B?

23 DR. REGNERY: Sure.

24 DR. BELSHE: We have worried about the B/Victoria

25 persistence in China. Have you actually seen B/Victoria

1 viruses outside of China?

2 DR. REGNERY: Hong Kong. Hong Kong keeps  
3 reporting some B/Victoria-like viruses.

4 DR. BELSHE: And over the period of time, it  
5 wasn't clear what was China out of the Asia set. What is  
6 happening to the balance between Victoria and B/Harbin  
7 viruses in China with time? Is it turning to more B/Harbin?

8 DR. REGNERY: It is still primarily B/Harbin.

9 DR. BELSHE: In China?

10 DR. REGNERY: In China. The numbers are higher  
11 for B/Harbans.

12 DR. BELSHE: B/Victoria is just there persisting  
13 somewhere.

14 DR. REGNERY: It is still there circulating.

15 DR. BELSHE: Do you know anything about the age of  
16 patients that are circulating in?

17 DR. REGNERY: For B/Victoria viruses?

18 DR. BELSHE: Right.

19 DR. REGNERY: No, I don't actually. I do have  
20 that data, but I haven't looked at it carefully. I am not  
21 sure whether it is primarily children or adults.

22 [Slide.]

23 Influenza H1N1 surprised us last year after having

24 a period of time when we did not have H1 viruses. We

25 thought they may disappear, but in the U.S., it was our

1 predominant virus for the season last year.

2       There was also H1 activity in Canada, as well as  
3 Europe, and then in South America, Australia, and New  
4 Zealand, there was scattered activity of H1 viruses.  
5 Central and South America reported more H1 activity than  
6 South Africa, Australia, and New Zealand, but not at  
7 tremendous levels.

8       The one epidemic bar for Central and South America  
9 was from Guyana, French Guyana, and then the second epidemic  
10 bar occurring in June, was from Chile. So outbreaks and  
11 sporadic activity.

12       In Asia, there was a fair amount of H1 activity  
13 also, in Japan, and Israel also had reported outbreaks in  
14 January. The summer months of the year saw just sporadic  
15 activity occurring in Asia.

16       [Slide.]

17       For our battery on the H1 viruses, it looks like a  
18 very large battery, but most of the viruses were recently  
19 added to look a little bit retrospectively because we  
20 haven't had any H1's to look at recently. So, all the data  
21 for the H1 viruses are primarily collected several months



22 ago to last year.

23 We keep Taiwan in our battery. It is an '86

24 virus. We use this one in our battery because it helps us

25 to identify the Texas/36-like strains. Texas/36, our

1 vaccine recommended strain, and Texas X-113, the recombinant  
2 used in the vaccine.

3 We also have Moscow/01, which is a virus that is  
4 an egg isolate. It matches the consensus sequence for the  
5 H1 viruses, and was chosen as an alternative to look at if  
6 we decided that the H1's were starting to drift enough to  
7 consider updating the vaccine.

8 The Bayern/07/95 is also an egg isolate, and it  
9 was a representative isolate from Europe last year.  
10 Shanghai/07/96 is a virus that we have looked at recently  
11 that appeared to have a pattern that is similar to a few  
12 other H1 viruses that we have seen in that from the Taiwan,  
13 the Taiwan antisera, if you look down at Taiwan antisera,  
14 you can see that the Shanghai/07 is reduced from the  
15 homologous titer, as well as the Texas/36 is also reduced  
16 only twofold, but we have had several viruses that have been  
17 reduced with Taiwan, as well as being reduced with Texas/36.  
18 Shanghai/07 was picked more or less as a representative of  
19 that, so that we could analyze the reactions in ferrets and  
20 also choose for the serologies to determine if there is  
21 indeed any differences in the viruses.

22           If you look at the Shanghai/07 ferret antisera,  
23   which is No. 7 across the horizontal row, and compare it to  
24   the Texas/36 and Texas/X-113, there are very good comparison  
25   between those antisera, so it is not significantly different

1 from our most prevalent viruses that we see.

2       The other interesting fact about H1 viruses is in  
3 the last year, about July, there was an H1 virus that was  
4 identified who gave this terrificly low titer reactions to  
5 all the reference antisera that we had. When this was  
6 analyzed further, it was truly an H1, and last year was  
7 presented as Wuhan/371. It is a deletion mutant, and it has  
8 very little cross-reactivity with the currently circulating  
9 strains.

10       [Slide.]

11       Now we can go and look at some of the test viruses  
12 that we have for H1's. The viruses that we had last year as  
13 H1/s, December 1995, and one that was collected during the  
14 season, as well as in January. All conformed to being  
15 Texas/Taiwan-like. We also have Canadian virus that is  
16 Texas/Taiwan-like.

17       During the summer months, the H1's that occurred  
18 in Brazil and South Africa and China were again fairly  
19 homologous, nothing really exciting to look at necessarily.  
20 The Shanghai/08, the last antigen on the chart, that is  
21 included in some serologies, as well as being sequenced, has

22 the differences we were talking about with being reduced  
23 with the Taiwan antisera, as well as being reduced with the  
24 Texas antisera, so we are seeing a few of these viruses, and  
25 whether that indicates that we might start seeing a drift

1 from the Taiwan-like viruses is not yet clear.

2       When I reviewed the data, I found that out of the  
3 number of U.S. viruses that we characterized last year for  
4 the Shanghai/08-like reaction pattern, there was about 11  
5 percent, and on the foreign level, there was about 12  
6 percent.

7       [Slide.]

8       Now, the deletion mutant variants, the Wuhan/371  
9 and the Beijing/262, which is representative for this year,  
10 are still being identified in China and Hong Kong, and this  
11 is a very clear reaction pattern over on the righthand side  
12 of the chart, and these particular isolates were collected  
13 in July, August, and September.

14       I think we can actually go to the frequency table  
15 now.

16       [Slide.]

17       So all the Taiwan/Texas-like viruses in the U.S.,  
18 North America, Europe, Central and South America, and the  
19 Southern Hemisphere worldwide. If we look at the  
20 Beijing/262 deletion mutant viruses in Asia during the  
21 wintertime last year, there were 21 viruses for a percentage

22 of 5 percent of the ones that we tested.

23       During the summer months, there was an increase to

24 39 viruses, and this calculated out to be 55 percent of the

25 viruses that we have characterized from Asia.

1           That total number is 115 that were characterized  
2   in Asia.

3           Down at the bottom is a pie chart that shows the  
4   worldwide frequency for H1 strains, the Taiwan/Texas being  
5   the majority with 95 percent last year from October to  
6   March, and the Wuhan/171 being about 5 percent, and then in  
7   the summer months, the increase of Wuhan to 54 percent  
8   compared to 45 for Taiwan, and that is not a lot of viruses,  
9   but that is the percentages that we are seeing.

10          As we were leaving CDC yesterday, Nancy Cox got  
11   information from Mill Hill that they had their first  
12   Wuhan/371 isolate from Switzerland, and it was an adult who  
13   was hospitalized, and there are seven other possible  
14   Wuhan/371-like viruses to be determined that they would be  
15   Wuhan/371, but definitely one confirmed, there has been no  
16   history of travel to Asia at this time, and the information  
17   is a little bit sketchy, but it seems to be firm.

18          DR. COUCH: Helen, the same question I had a while  
19   ago, and you partly answered it there, but is A/Wuhan  
20   outside of China in Asia, is it in Japan?

21          DR. REGNERY: This is the only one.



22 DR. COUCH: That is the only one outside of China?

23 DR. REGNERY: That we know of and that we have

24 tested, and, of course, we don't get all the viruses like,

25 you know, I don't have a good representative sample from

1 like Japan, Singapore, sometimes, and in some of the other  
2 neighboring countries. We have a fair amount from Korea,  
3 but, you know, we could improve our surveillance outside of  
4 China.

5 DR. COUCH: How about Hong Kong?

6 DR. REGNERY: And Hong Kong.

7 DR. COUCH: It's in Hong Kong.

8 DR. REGNERY: Yes, it's in Hong Kong. Hong Kong  
9 is running right neck and neck with China.

10 DR. BELSHE: Helen, could you refresh our memory  
11 on the nature of the deletion mutations?

12 DR. REGNERY: Yes. I think Nancy will go over  
13 that when she gives her talk.

14 Let's talk now about our H3 viruses.

15 DR. LEVANDOWSKI: Helen, before you go on, Dr.  
16 Kilbourne, who is here as one of our consultants, but isn't  
17 up at the table, has a comment that he would like to make.  
18 There is probably a space for him up here somewhere. I know  
19 it has been tight, but please come up here.

20 DR. KILBOURNE: I would like to remind everybody  
21 that there are two surface antigens on this virus. Thus far

22 we have been talking about serologic reactions, but on the  
23 hemagglutinin. We have looked at the Beijing/262 with  
24 respect to the neuraminidase, and our preliminary serologic  
25 information would indicate that there is very little

1 inhibition by the Texas or Taiwan antisera of that  
2 neuraminidase, so that is something to take into account if  
3 you are making any kind of decision.

4 DR. REGNERY: Thank you, Dr. Kilbourne. That is  
5 very useful information.

6 Dr. Couch, I just remembered I had one of the  
7 collaborators in Hong Kong recently look at her H1 viruses  
8 that she had collected over the last year, and out of that,  
9 there was about 17 percent of her viruses were the  
10 Wuhan/371-like.

11 [Slide.]

12 H3 keep us busy never mind H1. Last year, of  
13 course, H3 circulated worldwide with considerable activity  
14 in the U.S. and Europe, as well as in Asia, and during the  
15 summer months we also continued to have outbreaks and  
16 sporadic isolations throughout the summer in the U.S., and  
17 now we are experiencing the epidemic of H3 viruses in the  
18 U.S.

19 Canada is also reporting outbreaks of H3 with  
20 sporadic isolations, as well as Europe is experiencing H3  
21 viruses. During the summer months, the H3 viruses were very

22 prevalent and very popular in the newspapers in various  
23 areas, too, for a lot of increased activity especially in  
24 New Zealand and Australia, as well as South Africa, and Alan  
25 Hampson, I am sure is going to be telling us more about

1 those viruses.

2       In Central and South America, it is seemingly that  
3 their season skipped over a couple of months this year, and  
4 didn't actually end up until probably November with still  
5 outbreak activity.

6       One of the things that was interesting this summer  
7 to us at CDC was some of the South American countries are  
8 not up to date necessarily on diagnosing influenza, and  
9 there was a lot of increased activity and a lot of concern  
10 about what was going on, and in particular, Colombia  
11 contacted us at CDC, concerned about the epidemic they were  
12 having. Colombia had not done influenza laboratory work for  
13 10 years, so consequently, we were very pleased to be able  
14 to send one of our people to Colombia to identify the virus  
15 and bring it back and characterize it, and it was Wuhan.

16       In addition, there was Wuhan-like activity in  
17 French Guyana and several other places that don't -- they  
18 say they don't normally have flu, so it was severe enough to  
19 make them look about what was happening.

20       Asia is just blocked up with H3's, a lot of H3  
21 activity last year, as well as this year, in China.

22           It is going to take me just a little bit of time  
23 to go over the H3 battery, but I think it is important to do  
24 so slowly. We have Johannesburg/33, which is our previous  
25 vaccine strain. We have Alaska/10. Alaska/10-like viruses

1 started showing up in the U.S. last year. In most of the HI  
2 tests, Alaska/10 is twofold lower than the Johannesburg, not  
3 in this particular test, but invariably, it is two and  
4 sometimes fourfold lower.

5       So, we started seeing quite a few viruses that  
6 were reduced to Johannesburg, and then would react to a high  
7 titer with Alaska/10, and this is that group of viruses  
8 here.

9       The Wuhan/359/95 cross-reacts with Alaska/10, but  
10 it is distinct in most of the tests here. It is pretty much  
11 twofold across, but it is definitely distinct, the viruses,  
12 as you will see some of the viruses will be different.

13       The Nanchang/933 is the antigenically identical  
14 virus that was chosen for the preparation of the vaccines  
15 last year, and if you compare the antisera, you will see  
16 that they are very closely identical.

17       The New York/37/96 was an outbreak virus, and you  
18 can see that this particular virus -- and also was put into  
19 our serologies -- is Wuhan-like or Nanchang-like.

20       Fujian/47/96 is a recent Fujian virus from China,  
21 and we put this particular virus into serologies and started



22 including it in our HI test as an alternative egg isolate  
23 for vaccine production if necessary. By sequence, it is  
24 very close to Wuhan/359 and Nanchang/933, and as you compare  
25 antigenically across the row, it has the same antigenic

1 profile as Wuhan and Nanchang.

2       The Fujian antisera again confirms its relatedness  
3 when you compare its reactions to the Wuhan and 933.

4       The South Africa/1147/96 virus is a virus that we  
5 have received recently in our laboratory from Mill Hill, and  
6 this virus, Nancy will show you forms a subgroup, genetic  
7 group, that is distinct among itself, however, it may be a  
8 little bit low reacting in our HI test, but it is still  
9 pretty much Wuhan.

10       The antisera for the South Africa is a little low,  
11 which makes it sometime difficult to really see if there are  
12 differences, and there are differences when you point these  
13 out, but overall, the South Africa viruses have been  
14 considered to be related to the Wuhan-like viruses, and  
15 maybe the small differences we see might be attributed to  
16 some of their amino acid changes.

17       The Auckland/05/96 is a representative strain from  
18 New Zealand from their epidemic season, and it is truly  
19 Wuhan-like. The Nanchang Resvir-9 is the reassortant that  
20 is used in the vaccine this year. More recently we started  
21 including some of the reassortant viruses to run with our

22 test antigens. We haven't done this a lot, about three  
23 tests or so. The Resvir-9 is identical to Wuhan/Nanchang,  
24 as well as the X-125, and then Auckland/5 reassortant IVR-  
25 99, likewise is Wuhan.

1       The homologous titers for the reassortants is 320  
2   for Resvir-9, which is a little bit low. It is 112/80 for  
3   X-125 and 640 for IVR-99.

4       [Slide.]

5       If we look at some of the viruses that we have  
6   tested this year, the first overhead, we are going to take  
7   you back actually to last year, February 1996, to start to  
8   look at the Wuhan spread story, which has really been quite  
9   rapid.

10      When I was here at the meeting last year, we had a  
11   few Alaska/10-like viruses identified. We had no Wuhan-like  
12   viruses. February, there was a ship outbreak, the USS  
13   Arizona, so severe that they brought the ship back into  
14   port. The people onboard the ship, almost 100 percent, were  
15   ill, varying degrees of severity of illness, but ill. These  
16   folks were vaccinated, being in the military, with  
17   Johannesburg.

18      The Alaska/02 was an isolate in July. It is from  
19   a sporadic case, and it has been sequenced, and will be on  
20   the sequencing tree. Hawaii in July had a nursing home  
21   outbreak and increased activities in July.

22           Wisconsin, at a university, had an outbreak in  
23   September. New York/43 is from an HIV-positive patient in  
24   November, and a New York/50 is a nursing home outbreak that  
25   occurred in November, and the population had been previously

1 vaccinated in October.

2 Indiana/01 is a sporadic isolate, and New  
3 Jersey/08, in December, is an outbreak, and then the  
4 Texas/09 is also a sporadic isolate, but if you look, all  
5 the titers are very homogenous and within two of Wuhan and  
6 Nanchang, so they are definitely Wuhan-like viruses.

7 The Resvir-9 with a homologous of 320 covers these  
8 viruses, inhibits these viruses pretty. With X-125, we saw  
9 some reductions with some of the test antisera, and then  
10 with Auckland, other IVR-99, there was reduction with the  
11 Texas, not consistent.

12 DR. LEVANDOWSKI: Helen, I am sorry to interrupt,  
13 but we will need to move on. If you could summarize the  
14 next tables fairly quickly for us, please.

15 DR. REGNERY: Okay.

16 [Slide.]

17 We have the group of viruses from China, recently  
18 collected from July, August, and May. Again, all the  
19 viruses are fairly homogeneous with a few viruses that are  
20 reduced from the Wuhan and Nanchang. Again, coverage is  
21 good with IVR-99.

22 [Slide.]

23 Viruses that were received from Colombia, French

24 Guyana, and Guadeloupe, Brazil, and Trinidad, are the first

25 group in October and November, are Wuhan-like viruses. We

1 had one virus from Brazil that is low-reacting. This  
2 particular low reaction pattern is also seen in some other  
3 viruses, in fact, I think it is about 6 or 7 percent that we  
4 have seen a low reaction pattern.

5 Also, Alan Hampson will be talking about  
6 Auckland/108, which is a low reactor. Victoria virus from  
7 Australia is Wuhan-like, and with South Australia, there is  
8 some reduction with the Wuhan/Nanchang.

9 In France, we have another virus that is a low-  
10 reacting virus, as well as having other viruses that are  
11 more clearly Wuhan-like.

12 [Slide.]

13 This is an HI table with the most recent H3  
14 viruses that we have from the U.S. We have a few that are  
15 reduced with the Nanchang, and one that is reduced with  
16 Wuhan, but overall, the viruses are Wuhan-like. As far as  
17 coverage with one of the reassortants, we get good coverage  
18 with X-125 except for one and except for two with IVR-99.

19 [Slide.]

20 So the trend has been and it has been very  
21 dramatic with Wuhan/359 because of its initial



22 identification in July probably of 1995, and then spread to  
23 the U.S., most of the H3 viruses have taken about two years  
24 before they reached the U.S., and this one was much quicker.  
25 Last season, by the time we had all the viruses in the lab,

1 we had 26 Wuhan-like viruses.

2 During the summer months, we had Wuhan viruses,  
3 and today, the majority are Wuhan-like virus.

4 North America, we don't have too many viruses to  
5 analyze from North America, and Europe, by the end of the  
6 season, had a small number of Wuhan-like viruses. In Europe  
7 so far, their viruses have also been Wuhan-like.

8 Asia, the same repeating pattern of being  
9 increasing or consistently a larger number of Wuhan-like  
10 viruses. We haven't had that many viruses yet from Asia.  
11 We have a large shipment coming from China, and we will  
12 probably fill in this gap. Hopefully, not another variant  
13 will be found.

14 Central and South America, again, Wuhan-like  
15 viruses. The pie charts at the bottom just emphasize what  
16 we have here on a worldwide basis from October being 59  
17 percent, and 30 percent Johannesburg and Wuhan, and then  
18 increasing amounts of Wuhan-like virus in the summer months,  
19 and then finally, presently.

20 [Slide.]

21 A quick summary of the influenza B viruses world

22 activities at low levels. There are some influenza B  
23 viruses being submitted to us currently for analysis. The  
24 majority of the strains are well inhibited by Beijing/184  
25 and Harbin/07. To date, the strains currently match the

1 vaccine and B/Victoria-like viruses continue to circulate in  
2 China and Hong Kong only.

3 H1 viruses. There is few reports of recent  
4 activity. There is few, if any, current strains available  
5 for us to analyze, and to date, most of the strains are  
6 Taiwan or Texas-like.

7 The deletion mutant/262 or Wuhan/372 have not been  
8 seen outside of China until recently identified in  
9 Switzerland.

10 Influenza H3 viruses. Epidemic level activity in  
11 most of Northern Hemisphere countries. The majority of the  
12 strains are well inhibited by Wuhan and Nanchang, and to  
13 date, the epidemic strains match the vaccine strains, and I  
14 feel it is pretty important for us to analyze the current  
15 strains that we will be receiving from China, and also to  
16 continue monitoring the low-reacting strains that we have  
17 seen in South America and Australia, and a few in the U.S.

18 DR. LEVANDOWSKI: Thank you. We are a little bit  
19 behind, but if there are some particularly important  
20 question from the committee, we should entertain that now  
21 probably.

22           If there are no questions, we will want to move  
23 on, and I will ask Dr. Maria Zambon from the Public Health  
24 Laboratory Service in London if she will give us her  
25 information.

1 International Reports

2 DR. ZAMBON: Good morning, ladies and gentlemen.

3 Thank you very much for inviting me here to speak today, and

4 I hope to update you on the situation in the United Kingdom

5 and, where possible, reference any parallels that exist in

6 the U.K. with the European situation.

7 [Slide.]

8 My first slide is a summary of the epidemiological

9 situation and the way that we collect data in the United

10 Kingdom. We have a number of indices which we use to inform

11 us of influenza and influenza-like activity in the United

12 Kingdom analogous to the situation here in the United

13 States.

14 One of the most informative indices that we use is

15 the Sentinel Physician Index, called the RCGP consultation

16 rate, which is derived from an index of consultations to 100

17 general physicians scattered throughout England and Wales,

18 and because the denominator population is known, we

19 therefore derive consultation rate per 100,000 population.

20 For nine months of the year, this consultation

21 rate remains below 50, and we are agreed, based on the past

22 10 years worth of experience in looking at this index, what

23 we can describe as influenza-like activity in the United

24 Kingdom.

25 We are agreed that a level of over 200 represents

1 moderate influenza activity, and a level of over 400  
2 represents major epidemic influenza activity in the United  
3 Kingdom. The situation of major influenza activity in the  
4 United Kingdom has only arisen once in the last 10 years,  
5 which was in 1989.

6       So, this year our activity could be described as  
7 moderate, peaking in weeks 1 and 2 of 1997, although there  
8 was probably some reporting after the fact over the  
9 Christmas period. So, this is shown in this pink line here.

10       The dotted line here represents the number of  
11 isolates which we have received and analyzed in the Virus  
12 Reference Division, which is the national influenza  
13 laboratory for the United Kingdom, and the histogram blocks  
14 represent the surveillance scheme which we also run directly  
15 from the Virus Reference Division in Colindale, representing  
16 swabs taken from sentinel physicians, and the lower blue  
17 line representing the positivity rate for influenza.

18       So, all of our indices indicated influenza  
19 activity commencing in mid-November, rising to a peak in the  
20 first couple weeks of January, and then just as in the  
21 United States, we are on the down slope. I suspect that is



22 also the situation in the U.K.

23 [Slide.]

24 Our isolates, we have currently looked at 300 or

25 so from throughout England, Scotland, and Wales, and the

1 majority of the isolates that we have looked at have come  
2 from the south and central regions, which does not reflect  
3 necessarily clinical influenza activity, but rather the  
4 density of hospitals and density of populations, which are  
5 the source of many of our isolates.

6 [Slide.]

7 If we look at the number of isolates week on week  
8 in the '95 and '96 season, we can see that this season here  
9 has been predominantly H3N2 thus far, although we are  
10 starting to see a little bit of B activity in the early  
11 weeks of this year.

12 Last year was also predominantly H3 activity,  
13 although we saw some H1N1 activity towards the end of the  
14 season, which was February and March. The green line here  
15 is the clinical index, the RCGP index, so our peak activity  
16 here correlated with H3N2, but interestingly, the H1N1  
17 activity that we saw was not translated into clinical  
18 activity.

19 [Slide.]

20 Just to prove that China is not the only source of  
21 interesting potential pandemic strains, our excitement last

22 year came from the isolation and detection of an H7N7 virus  
23 recovered from a human being, which was the result of a  
24 direct avian to human transmission. I am pleased to say we  
25 have no evidence of transmission of that virus.

1 [Slide.]

2 Now, if we look at the age distribution of the  
3 isolates that we have looked at this year, we can, because  
4 of the surveillance schemes that run in the United Kingdom,  
5 separate them broadly into two categories: those which we  
6 receive directly from the community, and those which we  
7 receive from other laboratories within the United Kingdom,  
8 and that allows us to say something about community-based  
9 illness and the illness represented by these isolates here,  
10 which is largely hospital-based illness. So, that says  
11 something about the age groups which are actually being  
12 hospitalized with influenza.

13 Interestingly for us, and in contrast to the last  
14 season, the majority of the isolates that we have received  
15 this year from our community scheme have come from  
16 individuals aged 16 to 65.

17 We also see a fair percentage of hospitalized  
18 isolates coming from the same age group, but what we have  
19 here is that the majority of the isolates coming from  
20 hospitalized patients have come from children under five,  
21 which is not reflected in the community particularly.

22           The reason that is of interest is because last  
23 year I presented here at this meeting some information about  
24 levels of antibody in the population derived from a serum  
25 bank taken randomly in July 1995, which indicated that the

1 major gap in serum antibody to a Wuhan/359/95 actually laid  
2 within this age group here. That is where the lowest  
3 antibody levels were, and that appears to have been  
4 reflected this year in the number of people actually getting  
5 Wuhan/359/95, as we will see.

6 [Slide.]

7 I will take analysis of the strains in order,  
8 H1N1, H3N2, and influenza B. So, this season we haven't  
9 seen any H1N1 activity, but this is a recap of the H1N1  
10 activity from the end of last season.

11 Our ferret antisera battery contain many of the  
12 sera which Dr. Regnery has just presented to you, most  
13 importantly Taiwan/01/86, Texas/36/91 are not included in  
14 this battery or on this table here is Wuhan/371, which is  
15 the deletion mutant, and we had absolutely no evidence of  
16 any Wuhan/371-like strains in England last year.

17 The thing to say here is that the antisera that we  
18 have indicate that our strains can be described  
19 antigenically as Taiwan-like, showing good activity with  
20 Taiwan, but a rather variable reactivity with Texas/36/91,  
21 which is also indicated here, with our Sichuan antisera, and

22 in general, the comment that I could make is that perhaps

23 our ferret antisera for H1N1 are not very discriminatory.

24 [Slide.]

25 The genetic level, what we can actually say is

1 that there is a fair amount of genetic diversity in our  
2 strains, which is not particularly reflected antigenically.  
3 This is based on sequence analysis of the HA1 portion of the  
4 hemagglutinin of England strains here.

5 The deletion mutant Wuhan/371 is represented in  
6 our table by this virus here, and the vaccine strains are  
7 shown here, so this represents the diversity of England's  
8 strains that we have actually seen over the last 18 months.

9 [Slide.]

10 If we move on now to the H3N2 situation, that is a  
11 little bit more complicated in England. England/217/96 was  
12 the last H3N2 virus that we had in January, towards the end  
13 of the last season, and from this you can see that it could  
14 be described antigenically as Johannesburg-like with a poor  
15 reactivity, at least a fourfold reduced activity to Wuhan  
16 antisera, thus, causing data characteristic of all the H3N2  
17 viruses seen last season.

18 So, our H3N2 activity was entirely Johannesburg  
19 last season, and it was therefore with some trepidation that  
20 we awaited the first H3N2 this year, since we had no  
21 evidence of Wuhan/359/95-like viruses in the United Kingdom.



22           However, our first H3N2 virus this year, England  
23   272, was indeed Wuhan-like with a reduced reactivity to  
24   Johannesburg. So that, from the point of view of matching  
25   circulating strains to vaccine composition was somewhat of a

1 relief.

2       However, we have seen some heterogeneity  
3 antigenically in the viruses that we are looking at  
4 currently in the United Kingdom, and this picture is by no  
5 means complete in the sense that we still have many more  
6 viruses to isolate, and we are some way away from the end of  
7 the season.

8       What we can already say is that there are at least  
9 two circulating types. 272, the first virus this season,  
10 has got a good reactivity with earlier strains of influenza.  
11 The dates here indicate the years in which these reference  
12 strains were actually isolated.

13       Then, there is a second sort of isolate here,  
14 represented by A/England/279/96, which has got a rather  
15 poorer reactivity against earlier viruses, but still good  
16 against Wuhan.

17       Rather more alarmingly, recently, we have seen  
18 some isolates represented by Scotland/41/96, which have very  
19 poor reactivity against earlier viruses, and reduced  
20 reactivity to Wuhan, which these viruses may be similar to  
21 the one which Dr. Regnery just described A/France/187/97.

22           So, we have some sequence analysis for 272 and  
23 279, which I will go through. We don't have sequence  
24 analysis available yet for this sort of isolate, and we also  
25 have European egg isolate, which in many ways is

1 intermediate between these two sorts of virus.

2 [Slide.]

3 One of the interesting features of the England  
4 isolate this year has been that they all contain a receptor-  
5 binding change at position 226 from isoleucine to valine,  
6 and this may or may not be contributory to the fact that we  
7 have been unable to make any primary egg isolates from the  
8 clinical material that we have.

9 Also of some interest has been the generation of a  
10 novel potential glycosylation site here at position 120 to  
11 124, and interestingly, one of the differences between 272,  
12 which is the first strain with high reaction against earlier  
13 antisera, and 279 and later strains, is a position, a  
14 glycosylation site created here at position 46, which is not  
15 shown here because when I made that slide, that sequence  
16 information was not available to us.

17 Lisbon/296, which is the egg isolate that I have  
18 indicated to you, does not have this potential glycosylation  
19 site, and it also does not have the change to leucine here  
20 at position 194, which may be contributory to its growth in  
21 eggs, i.e., Lisbon/296 is an egg isolate whereas the rest

22 are tissue culture isolates, and as I have already

23 indicated, we have been unable to grow any of the England

24 strains in eggs.

25 [Slide.]

1        So, phylogenetically, then, all of the England  
2        viruses which we have sequenced so far come out very close  
3        to Wuhan 359/95. This group here represents the  
4        Johannesburg/33/94-like viruses which were circulating last  
5        year along with these Thessalonica-like viruses in the  
6        United Kingdom, and to the best of my knowledge, these  
7        viruses and these viruses, apart from single reports from  
8        Switzerland, have actually disappeared from Europe, so that  
9        the majority of European strains are antigenically and  
10       genetically closely related to Wuhan.

11       [Slide.]

12       Now, the situation with influenza B is really  
13       fairly straightforward. We have seen very little influenza  
14       B in England, although we are starting to see some coming  
15       through now, and it is possible that by the Geneva meeting  
16       in two weeks time, I will have considerably more  
17       information, but quite straightforwardly, we can say that  
18       our England strains are B/Beijing/184/93-like, although they  
19       do show some heterogeneity in their reactivity to Harbin  
20       antisera, and whether this is a trend or really coincidence,  
21       I can't yet say. We will have some more information on that

22 in time to come.

23       We do not see any of Victoria lineage in the

24 United Kingdom, and equally, from the point of view of

25 genetic analysis, our sequence analysis of the

1 hemagglutinin, one portion of our England strains indicates  
2 that they are quite closely related to Beijing/184/93.

3       So, in summary, then, we have late activity in the  
4 United Kingdom. We have predominantly H3N2 viruses  
5 circulating, and there is some considerable heterogeneity in  
6 there, although, broadly speaking, we would describe them  
7 all as Wuhan-like.

8       We have no evidence of Johannesburg-like strains,  
9 which are those H3N2 strains from last year. We have had  
10 limited influenza B activity, and the influenza B isolates  
11 that we have had, have all been B/Beijing/184/93.

12       With respect to H1N1 activity, we have had no H1N1  
13 viruses circulating this season in the United Kingdom, and  
14 what we saw in the spring of last year were all Taiwan/186-  
15 like with no evidence of deletion mutant-like viruses  
16 circulating.

17       Thank you.

18       DR. LEVANDOWSKI: Thank you, Dr. Zambon.

19       Are there any questions from the committee?

20       If not, then, we will move on. I will ask Alan  
21 Hampson from the WHO Collaborating Centre in Melbourne,



22 Australia, if he would give us some information on what is

23 happening there.

24 DR. HAMPSON: Thank you very much. Thank you for

25 the opportunity to talk to you today. I am just going to

1 give you a very brief overview of the findings of the WHO  
2 Collaborating Centre for Influenza located in Melbourne. We  
3 undertake some surveillance and collect virus specimens from  
4 the southern pacific region and from South Africa.

5 [Slide.]

6 During this last season, we had viruses submitted  
7 from New Zealand, Australia, from New Caledonia, and from  
8 South Africa, and what I have given here is just a very  
9 brief overview of the distribution of strains that we had  
10 for the year.

11 In fact, we had moderate to severe activity  
12 throughout the regions that we were responsible for, and the  
13 great majority of this activity was, in fact, H3 influenza,  
14 very, very little H1 influenza A, and some influenza B.  
15 Most of this has been, in fact, quite late, and in fact,  
16 this appears to be continuing at the moment in Australia  
17 with some late, unusual summer outbreaks of influenza B. It  
18 may be biased a little bit by one which was on an oil rig,  
19 which caused considerable outbreak amongst the workers on  
20 the oil rig.

21 [Slide.]

22           In fact, New Zealand I think was the country that  
23   had the most severe outbreak in the region, and what I have  
24   just done here is to show the weekly consultation rate, the  
25   sentinel practice rate in comparison with 1995.

1       New Zealand introduced a new surveillance scheme  
2   in 1990, and in fact, this last year is the most severe that  
3   they have had since the introduction of that scheme, but it  
4   is also the most severe winter they have had for many years  
5   preceding that.

6       What you can see is a very, very sharp outbreak.  
7   It was countrywide in New Zealand, and it started in June  
8   with a very rapid rise. The previous season was much lower,  
9   much more typical, although in 1995, it was atypically late.

10       [Slide.]

11       The sentinel practice reports in Australia, you  
12   will see are very similar to the preceding two years, and,  
13   in fact, we have had moderate to severe influenza over the  
14   past three seasons now.

15       The rates don't necessarily look remarkable, they  
16   are not exceptionally high, but we had quite significant  
17   levels, and these are rates per 1,000 consultations at  
18   medical practices. I can't give you a baseline, because  
19   unlike the U.K., we don't have population baselines for the  
20   individual practices.

21       [Slide.]

22           Now, in comparison with the sentinel practice  
23 report, what you will see for this year, the laboratory  
24 isolations were, in fact, quite high and a very sharp peak  
25 of laboratory isolates. Most of our laboratory surveillance

1 is based on hospital laboratories. This is probably an  
2 indication of the severity of the disease this year, so  
3 while the number of reports to sentinel practices were very  
4 similar to the preceding year, we have a very sharp peak, a  
5 very high peak of laboratory-reported disease this year.

6 [Slide.]

7 Now, when we had a look at the strains that were  
8 available, as I said, there were very few influenza H1. In  
9 the handout that I prepared, I have shown separate tables  
10 showing the South African H1's, which were very typically  
11 A/Texas-like in the case of South African strains.

12 What I have shown here is that the Australian  
13 strains, the very few strains that we did have were showing  
14 some reduced reactivity with Texas. In fact, the  
15 characteristic of two isolates from the preceding season,  
16 when we had a very large H1 influenza outbreak, two strains  
17 which varied a little bit from the A/Texas, and as you can  
18 see, these new viruses from this current season are very  
19 similar to these Perth/01 and Perth/13 viruses. So, that  
20 may be a little bit of an indication to some antigenic drift  
21 going on with the H1 viruses, the very few isolates that we

22 did have.

23 [Slide.]

24 Now, just moving down to the characterization of

25 the H3 isolates that we had for the season, which was the

1 majority of our strains, quite surprisingly, the A/Nanchang  
2 and A/Wuhan viruses constituted almost -- well, the very  
3 great majority of strains that we isolated this year.

4       This is, in fact, a very rapid emergence of this  
5 new variant because we had expected, if anything, the  
6 majority of our strains would have been Johannesburg-like.  
7 In fact, there were very few Johannesburg-like strains, less  
8 than 5 percent.

9       I have marked on here Auckland/5, which was shown  
10 in Dr. Regnery's slide, as being maybe a little different  
11 from the Nanchang-Wuhan-like viruses, a further variant  
12 Auckland/108, and a small group of strains here, which do  
13 appear to be variants, possibly Auckland/108-like, but we  
14 have only just derived an antiserum against Auckland/108 to  
15 give us a chance to analyze these.

16       [Slide.]

17       Now, this is a summary overhead of the strains for  
18 which we have antisera, and I have not put individual  
19 isolates in here. What you will see, for Auckland/5, which  
20 Helen also showed, it is just discriminated from the  
21 Nanchang-Wuhan type of viruses. There is a slightly reduced



- 22 reactivity against the Nanchang antiserum.
- 23       It is more discriminated by the reduced reactivity
- 24 against early antisera, such as the Beijing/32. Again, Dr.
- 25 Zambon showed this for some of the recent U.K. isolates, and

1 we also discriminated quite well with monoclonal antibodies.  
2 This panel of three monoclonal antibodies showed that there  
3 is, in fact, a change in that virus.

4 Auckland/108 is showing maybe a more distinct  
5 change, and when we produced antisera against these,  
6 unfortunately, I have lost the age of this particular line  
7 here, but this is an Auckland/108 antiserum, Auckland/5  
8 antiserum.

9 What we find is the Auckland/5 antiserum behaves  
10 very similar to the Nanchang serum. Maybe it is giving us  
11 slightly better cover against some of our more recent  
12 strains. The Auckland/108 is distinct. It gives us  
13 reasonable cover most of our recent strains, but a result,  
14 which we obtained just yesterday indicates that the  
15 Auckland/108 is, in fact, a different variant than that  
16 currently circulating in South America. It does not cross-  
17 react well with the South American strains.

18 [Slide.]

19 The type B strains, these are characteristic of  
20 the sporadic isolates that we had throughout the season and  
21 of the most recent isolates we have had from later in the

22 summer and from the oil rig outbreak.

23       Quite typically, our strains are well neutralized

24 by Beijing/184 antiserum or Harbin/07 antiserum. They may

25 be a little close to the strain B/Indiana/01/95, which we

1 can discriminate from the B/Harbin series, mainly by  
2 reaction with monoclonal antibodies, but at the moment  
3 certainly the current antisera or the current strain  
4 antisera seem to react quite well with essentially all of  
5 our type B isolates.

6 So, in summary, H1, we have very little isolates  
7 of H1 influenza. What we did have from Australia maybe  
8 showed a little bit of ongoing antigenic drift  
9 characteristic of light isolates from our 1995 season.

10 For H3N2, these certainly are strains which are  
11 showing low reaction with the current Resvir-9 or A/Nanchang  
12 antiserum, and from the type B strains these appear to be  
13 quite consistent and reacting quite well with the current  
14 B/Harbin-B/Beijing antiserum.

15 Thank you.

16 DR. LEVANDOWSKI: Thank you, Alan.

17 Are there any questions or comments from the  
18 committee?

19 If not, at this time we will move on again, and I  
20 will ask Dr. Nerome, who is visiting us from the NIH in  
21 Japan, in Tokyo, if he would come to the podium and share

22 with us his information.

23 DR. FERRIERI: I might remind all the speakers

24 that there is a timer with a light on the platform. When it

25 turns red, you must immediately bring your presentation to a

1 conclusion.

2 DR. LEVANDOWSKI: Thank you for that reminder.

3 DR. NEROME: I am very pleased to be here to  
4 exchange our understanding of scientific results regarding  
5 viral surveillance of the influenza viruses.

6 [Slide.]

7 In my first slide, I describe the activity in  
8 Japan from the third report. Large outbreaks of influenza  
9 caused by A/Hong Kong viruses still tend to increase  
10 throughout Japan based on influenza-like illness, absentees  
11 and class closure and school closure up to mid-January.

12 As a reflection of these reports from local  
13 governments, a number of influenza A and B viruses were  
14 isolated in many parts of Japan. This season was  
15 particularly characterized by a great number of adults  
16 infected with N3N2 viruses and the deaths reported in the  
17 elderly over 65 years of age in nursing homes.  
18 TV and newspaper reported daily the above damages by  
19 influenza.

20 From virus isolation and characterization as of  
21 January 17th, 39 and 47 prefectures reported to us virus

22 isolation of N3N2 viruses. All isolates are characterized  
23 by post-infection ferret sera in each prefectural Institute  
24 of Hygiene and, as a result, 1,278, about 98.3 percent, were  
25 identified as N3N2 viruses.

1       The remaining 22 viruses, 1.7 percent were B  
2 viruses although more so the N2N3 isolates were similar  
3 antigenically to a Japanese vaccine strain, A/Wuhan/359/95.  
4 A small number of H3N2 viruses seem to be different from the  
5 above vaccine strain. For example, antiserum to the Wuhan  
6 strain reacted to a low titer, a small number of N3N2  
7 viruses, and some viruses did not react to the antiserum to  
8 Wuhan strain.

9       We are now confirming their antigenicity by  
10 different tests.

11       [Slide.]

12       This is a Japanese map. This is distribution of  
13 isolates between October 1 and December 15, 1996. You can  
14 see here most of the N3N2 viruses, indicated by a shadow,  
15 were specifically in the north part of Japan, and the  
16 western part of Japan. From this report, many elderly  
17 people were dead in the north part of Japan and the west  
18 part of Japan.

19       This early morning, the Japanese government called  
20 me at 3 o'clock. Already, more than 30 elderly people were  
21 dead in one prefecture, in my hometown, Kanagawa.



22 [Slide.]

23 This tendency reaches all over Japan. Still, in

24 Hokaido, until December 15, only B viruses were circulated

25 in Hokaido, in the north part of Japan. Now, Hong Kong

1 viruses also have caused an outbreak. And then in several  
2 prefectures, N3N2 and B viruses cocirculated.

3 Now, in Japan, other prefectures also reported to  
4 be based on an outbreak based on an influenza-like illness.  
5 So I can say that all over Japan now has experience with big  
6 outbreak of influenza since 1989.

7 [Slide.]

8 This is a transitional virus isolation in Japan  
9 since October 1996. Our Japanese activity starts in mid-  
10 November and then they exactly increase and reach the first  
11 wave of our outbreak in the mid or end of December and a  
12 decline with the start of winter vacation in school.

13 Then, early this year, again starts even the  
14 activity now increasing rapidly, pointing to a second big  
15 peak that was usually observed in early February.

16 [Slide.]

17 From the present communication, I eliminated data  
18 regarding the evolutionary analysis, molecular analysis.  
19 This is described as N3N2 viruses analyzed by post-infection  
20 season. As you can see here, 90 percent of all N3N2 viruses  
21 are identically similar to our vaccine strain,

22 A/Wuhan/359/95. But a small proportion, such as 10 percent,

23 of our N3N2 viruses reacted to a low titer to antiserum to

24 our Japanese vaccine strain, Wuhan.

25       This morning, it was also reported to us. So

1 three N3N2 viruses were isolated in the Kyoto area did not  
2 react with antiserum to Wuhan strain and we are getting  
3 those analyzed much more in detail from a different point of  
4 view.

5 [Slide.]

6 So the HI test using post-infection ferret sera or  
7 other animal sera is only as a preliminary screening to  
8 understand antigenic characteristics, to understand in much  
9 more detail the antigenicity of the antibodies to two  
10 vaccine companies to immunize two groups of people. One  
11 group, A, the mean age is 50 years old. The second group,  
12 B, the mean age is over 65 years old, and living in nursing  
13 homes.

14 As can be seen, our three antigenetic variants  
15 isolated in Japan such as Nagasaki, H3N2 Fukushima,  
16 B/Sappore. You can see both of the tables are right above  
17 Tokyo. Particularly, I would like to point out that B  
18 viruses such as Tokyo/942/96 reacts to low titer in older  
19 people indicating that it is a new variant.

20 And then our Japanese H3N2 viruses seem to react  
21 to low titer with Wuhan/395/95 strain.

22           In conclusion, the following points should be  
23 considered. Most of N3N2 isolates in Japan were similar  
24 antigenically and evolutionarily to the vaccine strain  
25 Wuhan/359/95. Really, about 10 percent that were isolated

1 reacted to low titer with antiserum to Wuhan viruses. These  
2 results must be analyzed in much more detail by HI,  
3 neutralizing tests and immunogenicity in the mouse model  
4 system as one of the vaccine candidate strains.

5       Secondly, even though B viruses were isolated in a  
6 lower proportion, several strains appeared to react to  
7 extremely low titers with our vaccine strain which is  
8 B/Harbin/07/94 or Beijing/184 strain. These strains must be  
9 evaluated from different points of view.

10       Third, in the last season, two types of H1N1  
11 variants were isolated in Japan. The first group was  
12 antigenically and evolutionary related to the Wuhan/296/96  
13 but the second group seemed to be different based on the  
14 plaque neutralization and phylogenetic analysis.

15       On the whole, it is evident that we have to  
16 consider carefully the recommendation of vaccine strains in  
17 the coming season.

18       Thank you very much for your attention.

19       DR. LEVANDOWSKI: Thank you very much, Dr. Narome.

20       Are there questions from the committee for Dr.

21 Narome?

22           If not, at this point, we will move on again, and  
23 I will ask Dr. Nancy Cox if she will present information on  
24 the molecular analysis of strains.

25           Molecular Analysis of Strains

1 DR. COX: I will be fairly brief this morning  
2 because we are a bit pressed for time and because many  
3 people in this room have become much more accustomed to  
4 looking at the sequence analysis that we present and are  
5 much more familiar with the ways in which we examine this  
6 particular data.

7 [Slide.]

8 I will just briefly touch on which viruses we  
9 choose for sequencing. We sequence all variant viruses. In  
10 other words, we sequence every strain that is down fourfold  
11 or greater with a number of our ferret antisera in the  
12 panel, so that we can find out what amino acid changes are  
13 responsible for this variation.

14 We also sequence typical epidemic viruses, and we  
15 look particularly for viruses that will give our sequence  
16 database a broader geographic distribution, and as well a  
17 broad temporal distribution.

18 In the last year or so we have been selecting  
19 viruses based on the RFLP results. Last year, I talked  
20 about how we had begun to do restriction fragment-length  
21 polymorphism screening in order to be able to pinpoint which



22 genetic group each virus was in.

23 [Slide.]

24 Once we have the sequence data, we use it to

25 compare the antigenic analysis with the specific amino acid

1 sequence data to determine if there is any correlation  
2 between specific amino acid changes and changes in antigenic  
3 reactivity.

4       We look at computer-generated evolutionary trees  
5 or dendograms, and these are sometimes very informative. We  
6 look at computer-generated consensus sequences, which are  
7 actually average sequences, and of course, our consensus  
8 sequence evolves with time, and the older viruses, the older  
9 HA sequences are dropped off and we move with the newer  
10 strains, so our consensus sequence for each of the three  
11 groups of viruses is made up of '95, '96, and '97 sequences.

12       We examine the location of the specific amino acid  
13 sequence changes in the three-dimensional structure of the  
14 HA to look to see if the changes are actually in the  
15 antibody-combining sites that have been defined.

16       I will remind you that there are five defined  
17 antibody-combining sites in the HA. These antibody binding  
18 sites are made up of noncontiguous sequences, so we do  
19 sequence the entire HA1 domain, so that we can see what is  
20 going on overall.

21       We also want to determine if we have a number of

22 sequence changes and then a correlation in an increase in

23 influenza activity.

24 [Slide.]

25 As I just mentioned, we have begun screening the

1 HA genes of all of the viruses that are tested in HI tests  
2 by restriction fragment-length polymorphism or ITPCR  
3 testing, and we developed the screening method based on our  
4 past sequence data, our need to distinguish genetic lineages  
5 of viruses when HI tests fail to do so, and we also wanted  
6 to devote fewer staff to routine sequencing.

7 So, we now apply RFLP screening to all viruses,  
8 and in the last year or so, we have screened over 1,500  
9 viruses.

10 [Slide.]

11 We will start with the influenza B viruses. In  
12 order to orient you, these are the Yamagata lineage viruses  
13 that others have spoken about. These are the Victoria  
14 lineage viruses here.

15 I won't discuss these viruses very much because,  
16 as you know, they have not been detected outside of Hong  
17 Kong in China, however, I would like to mention that they  
18 have continued to evolve as they have sequenced in primarily  
19 southern China and Hong Kong.

20 The viruses on the upper part of this dendogram  
21 have predominated. Here was the old vaccine strain Panama.

22 Our current vaccine strain B/Harbin/07/94 is located here.

23 We can see that the majority of the viruses that have been

24 isolated and sent to us during the last year and a half fall

25 into this group here.

1        If we look at what happened during the summer  
2 months, as Helen mentioned, we did have B/Victoria viruses  
3 being isolated in China, and we can see that they make up  
4 approximately 16 percent of the viruses in terms of their  
5 genetic makeup.

6        We have a small number of viruses represented  
7 here, and they are all in this group that we call the  
8 Chinese lineage. I would like to remind you that just a few  
9 years ago, we were not able to distinguish these viruses  
10 from these viruses antigenically, and so it was very  
11 important for us to be able to distinguish them genetically.

12       [Slide.]

13       We used the computer-generated consensus sequences  
14 to look at the number of amino acid changes between various  
15 strains and the consensus. We can see here that we have  
16 five to eight amino acid changes between the Beijing/184 and  
17 Harbin strains and the consensus.

18       We have nine amino acid changes for the Nanchang  
19 strain. The representative U.S. strain has only two amino  
20 acid changes from the consensus, and the B/Russia/222/95  
21 strain also has two changes.

22 [Slide.]

23 So, in summary, most recent influenza B viruses

24 fall into one of the two genetic groups which we have called

25 Chinese or B/Harbin. B/Harbin has just three additional

1 amino acid changes.

2       The B/Harbin vaccine strain is actually the  
3 smaller of the two related genetic groups. We cannot  
4 distinguish these two groups antigenically. The HA sequence  
5 of B/Harbin has eight amino acid changes from the HA  
6 consensus sequence, and, of course, B/Victoria-like viruses  
7 are continuing to evolve as they circulate in China.

8       [Slide.]

9       The H1N1 viruses are really quite interesting. To  
10 orient you very quickly, this group, shown in green and  
11 termed "Chinese" here, is made up of two groups of viruses  
12 which look different antigenically.

13       Part of these viruses have a deletion mutant, and  
14 they are very clearly distinguished from other viruses in  
15 this group and from all of the viruses in this group. The  
16 viruses in this group, which do not have the deletion, look  
17 Taiwan-like in spite of the fact that they have a fair  
18 number of amino acid differences.

19       The viruses in this group are fairly homogeneous  
20 in terms of their sequences. We have the Moscow/01/95  
21 strain up here, Shanghai/08 up here, Shanghai/07 right here,



22 and I think those are the primary viruses that have been  
23 pointed out and will be spoken about when we move on to the  
24 serologic analysis.  
25 So, what we can see is that the majority of

1 viruses during this time period were the Taiwan/Texas-like  
2 strains. During this time period, during this late spring  
3 and summer, we had a significant number of viruses in this  
4 group, and during the current time we are seeing very few  
5 H1N1 viruses, but they are Taiwan/Texas-like.

6 DR. KILBOURNE: Nancy, excuse me. Does the  
7 deletion involve an antigenic site?

8 DR. COX: I will go over this a bit later, as  
9 well, but it is in site A, and it is at amino acid 134.

10 [Slide.]

11 The Texas vaccine strain X-113 has six amino acid  
12 differences from the consensus sequence. Moscow/01/95 is  
13 very similar with only two amino acid changes from the  
14 consensus sequence. Shanghai/08 has three changes, Bayern  
15 only one, Vermont, one which is a representative U.S.  
16 strain, only one, and the Beijing/262 deletion mutant has 11  
17 amino acid differences from the consensus.

18 [Slide.]

19 Of the two distinct genetic groups of H1N1  
20 viruses, one group predominates worldwide, and the second  
21 has circulated only in China, that is, until we heard the

22 report in the last couple of days from the U.K. indicating  
23 that an isolate was made in Switzerland.

24       The HA genes of H1 viruses have continued to  
25 evolve and viruses analyzed in the past year have between

1 one and 11 amino acid changes compared with the consensus  
2 sequence.

3       The molecular correlate of the reduced HI titers  
4 to the Wuhan/371-like or Beijing/262-like viruses is a  
5 single amino acid deletion at position 134. It is a  
6 deletion of a lysine. This particular deletion was also  
7 observed in a series of isolates obtained from a severely  
8 immunocompromised child who shed Chile-like viruses back in  
9 the mid-eighties. We also have seen this particular  
10 deletion in an H1N1 virus, which was isolated during the  
11 early era of circulation of the H1's.

12       [Slide.]

13       The H3N2 viruses have been somewhat more  
14 heterogeneous in general. Last year, just to recapitulate  
15 what was occurring last year, we knew that the group of  
16 viruses shown here in blue, in both colors of blue, the  
17 darker blue and the lighter blue, were predominating  
18 worldwide, however, we were not able to distinguish at that  
19 time many of the viruses that were in this group.

20       They, of course, have fallen out of the dendogram  
21 because they are older strains, but, nevertheless, they did

22 fall into this genetic group and they were indistinguishable  
23 antigenically from the Johannesburg genetic group of viruses  
24 represented here in red.  
25       So, last year the RFLP analysis was extremely

1 useful because we knew that this group of viruses was  
2 predominating even though we couldn't tell that on an  
3 antigenic basis.

4 Then, we started detecting the viruses, the Wuhan-  
5 like viruses that we could distinguish antigenically, and  
6 they formed a group of viruses within this blue group, and,  
7 of course, those viruses have predominated worldwide, so  
8 that now from October of 1996 to the present, about 97  
9 percent of the viruses that we have examined have fallen  
10 into that genetic group.

11 The Resvir-9 reassortant has three amino acid  
12 changes from our current consensus sequence. Fujian/47/96,  
13 which has been mentioned a couple of times, has four amino  
14 acid changes from the consensus.

15 [Slide.]

16 The South Africa virus, which is representative of  
17 a newly emerging genetic group, and we probably need to go  
18 back to the previous dendogram, so that I can point that out  
19 to you -- the South Africa virus actually isn't on here  
20 because we had only the amino acid sequence, and this  
21 dendogram is based on nucleotide data, but it falls into

22 this group right here, and it appears that this is the most  
23 rapidly growing genetic group of viruses, and we will be  
24 looking at adding new restriction enzymes to our testing, so  
25 that we can distinguish this group very readily without

1 sequencing.

2 [Slide.]

3 The Auckland/5 reassortant IVR-99 has five amino  
4 acid changes from the consensus, and the Texas/9/96, a  
5 representative U.S. strain, has seven amino acid changes  
6 from the consensus, and it falls into that South Africa  
7 group.

8 [Slide.]

9 So we were able to distinguish four genetic groups  
10 of influenza A viruses, and, of course, the group  
11 represented by Wuhan predominates worldwide. The HA genes  
12 of H3 viruses have continued to evolve, and the HA of the  
13 current vaccine strain A/Nanchang has only three amino acid  
14 changes from the consensus sequence.

15 I mentioned the growing South Africa genetic group  
16 of viruses, and the signature changes that indicate that  
17 viruses belong to this group are at amino acids 121, 124,  
18 133, and 142, and as Maria pointed out, two of these changes  
19 encode additional potential glycosylation sites.

20 Are there any questions?

21 DR. LEVANDOWSKI: If there are no questions from



22 the committee, at this point, I will ask Dr. Ferrieri if

23 this seems like a convenient time for a break.

24 DR. FERRIERI: Yes, this would be a wonderful time

25 for a break, and we should reconvene precisely to start at

1 10:20. We are right on time.

2 [Recess.]

3 DR. FERRIERI: We are into the vaccine responses.

4 I will turn the program back now to Dr. Levandowski.

5 Vaccine Responses

6 DR. LEVANDOWSKI: Thank you, Dr. Ferrieri. At  
7 this point, I would like to ask Dr. John Wood, who is from  
8 National Institute of Biological Standards and Control in  
9 London if he would present a summary of information on  
10 responses of people to current vaccines.

11 DR. WOOD: Thank you, Roland, and thank you for  
12 inviting me to attend your meeting.

13 [Slide.]

14 What I am going to do is to try and summarize the  
15 serology studies that have taken place over the last few  
16 weeks. There have been four different serology centers, two  
17 here in the states, at CBER and at CDC, one in Australia at  
18 CSL, and one in my lab at NIBSC in the U.K.

19 The vaccine panels that we have been looking at  
20 are down the lefthand side here. A trial at the University  
21 of Wisconsin in adults and elderly; Rochester, adults and

22 elderly; a nursing home in Virginia in the elderly; and a  
23 pediatric trial at Vanderbilt University, all of these  
24 trials in the U.S.; one trial in Europe, which is called the  
25 NIBSC trial, and lastly, a trial in Australia, which we call

1 the CSL trial, and both of these are in adults and elderly.

2 Three of the trial sera were redistributed to the  
3 other serology labs, so here we have the Wisconsin sera  
4 tested in each of the labs. This is very important because  
5 of the inherent variability of hemagglutination tests.

6 There are technical differences in all of the serology labs,  
7 and these lead to differences in HI titers.

8 So, it is quite important to have a variety of  
9 labs looking at these sera, so if you find a difference, you  
10 ask the question do other labs see that difference, as well.

11 [Slide.]

12 The vaccines that were tested contain these  
13 strains. In Europe and in the U.S., we have the H1N1 Texas  
14 strain, Nanchang and B/Harbin. In Australia, the only  
15 difference was that the H3N2 strain was Guangdong/25/93,  
16 which is antigenically the same as the previous vaccine  
17 strain in the Northern Hemisphere like Johannesburg.

18 [Slide.]

19 What we have done is to test the pre- and post-  
20 vaccination sera for antibody which cross-reacts to the  
21 variant viruses that we have been hearing about, and we have

22 established panels of viruses with the help of the three WHO  
23 influenza centers at CDC, Mill Hill, and in Australia, but  
24 not all of those viruses were examined in all of the labs,  
25 so it is a little bit complicated.

1 But what I have done is to try and give you a  
2 snapshot of what the results were, and then at the end I  
3 will try and pull them all together.

4 [Slide.]

5 So, let's start at influenza A H1N1 Wisconsin  
6 adult trial. The results analyzed at CBER and at CSL. This  
7 is the vaccine strain in each case, Texas/36/91, the X-113  
8 reassortants, and in all of the tables we are looking at the  
9 pre- and post-vaccination geometric mean titers and the  
10 percentage of individuals with significant antibodies, and  
11 that is defined there, before and after vaccination, and the  
12 percentage with fourfold rises.

13 For the purpose of this presentation, I am really  
14 going to concentrate on the post-vaccination antibody  
15 responses. What I have done is ringed in red where there is  
16 a reduction in HI titer of greater than 30 percent just to  
17 give you an idea of which are the significant drops.

18 So for CBER's results, we are seeing a significant  
19 reduction in HI titer to Bayern/07/95, Shanghai/08/96, and  
20 most of all to the deletion mutant, Beijing/262/95, a  
21 reduction from 99 to 18.

22           When these sera were analyzed in Australia, we are  
23 seeing quite similar patterns, reduction to Bayern/07,  
24 Shanghai/07, which is I think a close cousin of Shanghai/08,  
25 Vermont/01/96, and again a great drop to Beijing/262.

1 [Slide.]

2 Here we have the Australian adult vaccine trial,  
3 analyzed in Australia and at CDC. Very similar strains are  
4 being picked out as being different, a little bit different  
5 antigenically: Bayern/07, Shanghai/07, Vermont/01,  
6 Beijing/262, the biggest drop; and analyzed at CDC,  
7 Bayern/07, Shanghai/08, not Vermont at CDC, and Beijing/262.

8 [Slide.]

9 Now we go on to two representative trials in the  
10 elderly. First of all, NIBSC, elderly, analyzed at CSL and  
11 at CDC. Again, we are seeing the same viruses picked out as  
12 being antigenically a little bit different with post-  
13 vaccination sera this time in the elderly.

14 CDC again, don't recognize the difference in  
15 Vermont.

16 [Slide.]

17 Here we have the Wisconsin elderly analyzed at  
18 CBER: reductions to Bayern and Shanghai/262; and at CDC:  
19 all reductions to Beijing/262 and 262 here. But I should  
20 say that this trial produced very low post-vaccination  
21 titers.



22 [Slide.]

23 What I haven't shown you so far is my results with

24 the H1N1 viruses, and that is because they are a little bit

25 different from the other three centers. So there is an

1 error here. This should be Wisconsin elderly. I am showing  
2 the results from NIBSC in two trials, NIBSC adult sera and  
3 Wisconsin elderly sera.

4 First of all, you see the much higher HI titers  
5 than the other centers, and secondly, the only virus we see  
6 as being different is Beijing/262. We are not seeing  
7 differences with Bayern, Shanghai/07, Vermont. So, it  
8 illustrates the variability of the results.

9 [Slide.]

10 Now, let's move on to H3N2. Again, these viruses  
11 you have heard antigenic analysis and genetic analysis in  
12 the talks earlier, and this trial is NIBSC adults analyzed  
13 by CDC, and NIBSC.

14 Here the differences are seen with the South  
15 Africa 1147, which you have heard was on a slight different  
16 lineage from Nanchang, and Fujian/47 and Auckland/05, which  
17 are more Nanchang-like than the South Africa lineage, but  
18 they are all showing reductions in past immunization titers.

19 At NIBSC, we saw differences with South  
20 Africa/1147, Fujian/47, and a representative European H3N2,  
21 Lisbon/02/96.

22 [Slide.]

23 The Wisconsin adults tested at CSL and NIBSC. At

24 CSL, they introduced the Auckland/108 virus, and they found

25 significant reductions in post-vaccination titers. At

1 NIBSC, we found differences with the South Africa virus,  
2 Fujian, and both European viruses, Lisbon/02 and  
3 Genoa/09/96.

4 [Slide.]

5 A similar story in the elderly. First of all, the  
6 NIBSC elderly analyzed at CDC and at CSL. Drops to South  
7 Africa, Fujian, Auckland/05 tested at CDC, and at CSL, a  
8 reduction in the South Africa virus and Auckland/108.

9 [Slide.]

10 Wisconsin elderly tested at CSL and NIBSC.  
11 Reductions to Fujian, Auckland/108, reductions to South  
12 Africa, Fujian, Lisbon, and Genoa.

13 What I haven't shown are the results from CBER for  
14 H3N2, and that is really because they were difficult to  
15 interpret because the post-vaccination titers to the vaccine  
16 strain, Resvir-9, were very low indeed. In fact, they were  
17 higher to the variants, so I deliberately avoided talking  
18 about those results.

19 [Slide.]

20 Influenza B. The vaccine strain is  
21 B/Harbin/07/94. This is the NIBSC adult trial, tested at

22 CBER and at CDC. This is the B/Victoria lineage, and it is  
23 being seen by the post-vaccination trials as being different  
24 in both the lab at CDC and CBER.

25 [Slide.]

1           This is the Wisconsin adult trial, tested at CSL  
2   and NIBSC. Again, Guangdong is seen different here at CSL,  
3   and in our test, we found that Nanchang/24 had a reduction  
4   from the homologous titer to B/Harbin.

5           [Slide.]

6           Wisconsin elderly, tested at CDC, B/Guangdong was  
7   the only virus that was reduced in titer, and at NIBSC, none  
8   of these viruses were significantly different.

9           [Slide.]

10          The last data slide I am showing is CSL elderly,  
11   where they were tested at CSL and at CDC, and again  
12   Guangdong is the only virus that is different, so there is  
13   not a lot happening with the B viruses.

14          [Slide.]

15          So, in the last three slides, I have drawn all  
16   these results together, and a way of illustrating this is to  
17   just single out the viruses that are seen as being  
18   antigenically a little bit different by post-vaccination  
19   sera.

20          So, for H1N1, these are the viruses - Bayern/07,  
21   Shanghai/08 and /07, Vermont/01, and Beijing 262, and these

22 are the labs that did the serology, and this is the

23 incidence of results that show a low titer for this

24 particular virus.

25       So, for example, Bayern/07/95, all of the tests at

1 CSL saw this as different, five out of seven at CDC, eight  
2 out of eight at CBER, and none of the tests at NIBSC, so  
3 overall, 19 out of 25 tests saw this as being a little bit  
4 reduced, and the typical reduction was about 50 percent  
5 reduction.

6 The other viruses, Shanghai/08 and/07, and  
7 Vermont, they were seen as being a little bit different in  
8 some of the labs, but not all of them, but Beijing/262 was  
9 seen as being different in all of the labs, and quite large  
10 reductions, nearly 80 percent reductions in titers.

11 [Slide.]

12 Let's move on to H3N2. The viruses that were  
13 identified as being different were Fujian/47, 10 out of 19  
14 tests, all of the tests at NIBSC, all of the tests at CDC,  
15 and one of them at CSL. South Africa/1147, four out of 14  
16 of the tests; Auckland/05 and Auckland/108, four out of 19,  
17 four out of four. This was only tested at CSL, and all of  
18 the tests showed this as being significantly reduced.

19 Then, the two European viruses, they were only  
20 tested in my lab at NIBSC, but in nearly all of the tests  
21 they seemed to be different.



22 [Slide.]

23 Finally, the B viruses. This is the B/Vic

24 lineage, B/Guangdong, and nearly all of tests showed a

25 reduction on the order of 63 percent, and B/Nanchang/24/96,

1 we are seeing in just a few of the tests, four out of 25  
2 tests conducted as being different, but not very great  
3 reductions.

4 So, that is a summary of the serology lab results.

5 Thank you.

6 DR. LEVANDOWSKI: Thank you, John.

7 At this point, you have summarized a huge amount  
8 of information there in those tables in a very short period  
9 of time, and done it very nicely.

10 I don't know if the committee members want to ask  
11 any questions about serologic results at this point.

12 If not, I would ask John Treanor. we have  
13 additional information from studies that were done at the  
14 University of Rochester, which we really didn't have time to  
15 add here, if he might want to make a comment, as well, from  
16 studies being done there.

17 DR. TREANOR: I just wanted to point out that you  
18 may or may not have a handout of the results from studies  
19 that we did along with Bill Gruber at Vanderbilt, and we did  
20 try and make enough handouts, so everybody could see that.

21 There are tables also of reactivity at different

- 22 groups of populations against the H3H1 and B antigens.
- 23 Although we didn't test quite as many different viruses as
- 24 John did, we got very similar results.
- 25 The responses in the H3 group to the Fujian and

1 Auckland viruses are slightly less than the responses to the  
2 Nanchang, which is in the vaccine. I am not sure how  
3 significant they are, but the one difference that we did see  
4 that does seem to be consistent in all the different groups  
5 that we tested were the responses to the South Africa virus,  
6 which are significantly less than the responses to the other  
7 members of the group.

8       With the H1 antigens, again, there are slight  
9 decreases in the responses to the Bayern and Shanghai, not  
10 really seen in every single group tested, but very  
11 significant decreases in the responses to the Beijing/262 in  
12 those who got the Texas vaccine.

13       For the B, again, we are seeing slight decreases  
14 in some of the viruses in some of the group, but the only  
15 really consistent change is that the people who were  
16 vaccinated with Harbin had very poor responses to Guangdong  
17 as expected.

18       So, those are really the most striking differences  
19 in the things that we saw.

20       DR. LEVANDOWSKI: Thank you. At this point we  
21 will move on.

22           Availability of Strains and Reagents

23           DR. LEVANDOWSKI: If I could get the first

24   overhead, I would like to give some information about the

25   availability of strains and reagents, and there may be

1 others here in the room who could or would comment on this  
2 also either now or at the appropriate time.

3 [Slide.]

4 In terms of the influenza B viruses, the B/  
5 Harbin/07/94 virus, of course is available. That is last  
6 year's strain. That is a moderate- to high-yielding seed  
7 virus in terms of what most manufacturers are finding. Of  
8 course, seed viruses for that strain have been approved for  
9 vaccine production.

10 At this point, you will note that I have indicated  
11 that we really don't have any other serious vaccine  
12 candidates for production.

13 [Slide.]

14 For the H1N1 viruses, the A/Texas/36/91, X-113  
15 reassortant is the one that was last year's strain. It is a  
16 moderate- to high-yielding reassortant, and seed viruses for  
17 that strain have also been approved for vaccine production.

18 At this point, we have distributed to  
19 manufacturers the A/Shanghai/08/96 strain and also the  
20 A/Bayern/07/95 strain, but those were sent out just this  
21 week, so that I doubt that there is any information that is

22 available. It is really unknown whether these wild type  
23 strains would be appropriate for manufacturing or not, and  
24 at this point those strains, nothing has been done in terms  
25 of making reassortant viruses for those.

1           In terms of the A/Beijing/262/95 strain, however,  
2   there are two high-growth reassortants that have been  
3   produced, one in Dr. Kilbourne's lab, the X-127, and one  
4   from our lab, Resvir-10. We have not distributed those yet  
5   either because we did not have, up to this time, all the  
6   information that we wanted to have about the reassortants,  
7   but those could be made available fairly shortly.

8           [Slide.]

9           For the H3N2 viruses, of course, the  
10   A/Nanchang/33/95, Resvir-9 strain, is available. That is  
11   last year's vaccine strain. It has been a moderate- to  
12   high-yielding reassortant, and there are vaccine seed  
13   viruses that have been approved for production.

14          There are additional strains that are available at  
15   this time. There are two wild type strains that have been  
16   distributed to manufacturers, but again only within the last  
17   10 days, the A-Fujian/47/96 and the A/South Africa/1147/96  
18   strains. I do not have any information on the growth  
19   characteristics of those strains, but the manufacturers may  
20   be able to supply some information to us.

21          There are, in addition, other high-growth



22 reassortants that are available, and I see that I have left  
23 one off the overhead. There is the X-125, A/Nanchang/933/95  
24 reassortant from Dr. Kilbourne's lab.  
25       There is also an A/Auckland/05/96 strain that is

1 designated IVR-99, which was produced at CSL in Australia.

2 That strain also is available, but again, we don't have

3 information on the growth characteristics of that one.

4 [Slide.]

5 In terms of the potency reagents, of course, we

6 have reagents for potency determinations for all of the

7 strains that were used in last year's vaccine. We have

8 sufficient material for that. If there are new strains that

9 are selected, however, the reagents will not be available

10 until sometime in May, and during that period of time, if

11 there are other strains that are selected, manufacturers

12 would, of course, have to rely on the old reagents, which

13 may be give falsely high values in terms of yield for

14 vaccine viruses.

15 I think I will stop there, and if there are any

16 questions, take them.

17 If not, leading right into that, we always reserve

18 some time for the manufacturers to give us comments on their

19 view of the manufacturing season, either both last year and

20 the coming season.

21 I would ask if there is anyone in the audience

22 from the vaccine manufacturers who would like to share some  
23 information with us or some thoughts that you would please  
24 do so now.

25       Would you also please identify yourself for the

1 recorder.

2           Comments from Manufacturers

3           MR. THIBOUTOT: Roth Thiboutot from Wyeth Labs. I

4 guess I am representing the influenza vaccine manufacturers

5 and that I guess we would like to ask the committee if they

6 could expedite any decisions on strain selection.

7           I guess as you are all aware, influenza vaccine is

8 an egg-based product, and we have all turned the chickens

9 on, if you will, and we have approximately a couple of

10 100,000 eggs coming into our facility every day, and really

11 no mechanism to shut that off if we have any break in the

12 strain.

13           Specifically, I guess we would really like to have

14 two strains named today as in about three weeks I believe we

15 are going to start to have some problems as far as our

16 manufacturing capacity being exceeded on one strain for the

17 entire year. The effect of that will be pretty disastrous

18 financially to us.

19           In addition to that, there is also going to be a

20 tremendous effect on the number of doses that are going to

21 be available at the end of the year as it extrapolates to

22 about a couple of hundred thousand doses every day if we

23 don't have a decision.

24       So, leaving it at that, you can plug that into

25 your equation.

1 Thank you.

2 DR. LEVANDOWSKI: Thank you.

3 Do any of the manufacturers have information about  
4 the growth characteristics of any of these strains that have  
5 been distributed, anything that you are willing to share  
6 with us?

7 Please identify yourself.

8 MR. SLUSAW: Greg Slusaw, Pasteur-Merieux  
9 Connaught.

10 We have had an opportunity to do some seed passage  
11 with the H3 candidate viruses which were distributed, and  
12 they appear to be moderate growers.

13 One point I would like to make is that for a virus  
14 candidate to be suitable for production, generally, we do  
15 need a high-growth reassortant, and several of the H3  
16 candidates which we have received are prototype strains.

17 Also, one thing I would like to add is that we  
18 generally need about a month from the time we receive a  
19 candidate virus until we can go into production, which gives  
20 us time to do seed passage and prepare seed virus cultures  
21 for production and do the appropriate testing, and so on.

22           So, at the point that a candidate virus is  
23 distributed, there is some lag time before we can actually  
24 begin production with that.  
25           DR. LEVANDOWSKI: Thank you. I should mention

1 that in several laboratories, there are efforts being made  
2 to produce high-growth reassortants with both the A/  
3 Fujian/47/96, the A/South Africa/1147/96 strain, and also  
4 the Lisbon/02/96 strain, so that there are some efforts  
5 going on to produce those, and they are in various stages of  
6 completion, I guess is a good way to say it.

7 DR. KILBOURNE: How about H1?

8 DR. LEVANDOWSKI: At this point, this information  
9 that we are looking at has literally only come to us in the  
10 last several days, and we had really not contemplated this  
11 event, so we had not started to work on any of these strains  
12 in terms of high-growth reassortants, but it is something  
13 that we are starting to think about to try to select those  
14 that might be the most valuable.

15 DR. KILBOURNE: We have the X-127, the Beijing/262  
16 prototype.

17 DR. LEVANDOWSKI: Yes. I am sorry. I am not  
18 trying to exclude the Beijing/262/95 strains from the  
19 H1N1's, but those are very much different from what have  
20 been the predominant strains out there, and the strains that  
21 we might be somewhat concerned about in addition to those



22 Beijing/262/95 strains.

23 But it is correct, there are two reassortants

24 already available that have just not been distributed yet

25 for the Beijing/262/95 variants. Sorry for the confusion.

1           If there are no further comments from the  
2 manufacturers at this point, then, I will go ahead and we  
3 will discuss or I will present some information that I would  
4 like to have considered in terms of what the options are for  
5 making the strain selections.

6           Options for Strain Selection

7           DR. FERRIERI: Is there anything in our packet,  
8 Dr. Levandowski, that was distributed on this particular  
9 point now?

10          DR. LEVANDOWSKI: No, there won't be anything in  
11 any of the packets on this. I have some overheads that I  
12 have put together.

13          First of all, before we go to the overheads, of  
14 course the influenza A viruses of both the H1N1 and the H3N2  
15 subtypes have continued to circulate along with the  
16 influenza B viruses, so the first option or the first  
17 proposal for an option would be that the vaccine should  
18 continue to be a trivalent vaccine at this point.

19          We have had discussions about this at several of  
20 the meetings in the past about what the desirability of  
21 having some changes in that would be, but as all three

22 strains seem to keep circulating, and just when we least  
23 expect it, the one that we think might die out becomes  
24 predominant, it seems like it would be a good idea.

25       In terms of the influenza B viruses, influenza B

1 viruses isolated during the last year in the United States  
2 and everywhere except China have been clearly in the  
3 Yamagata/16/88 lineage with most of those strains very  
4 similar to the current vaccine strain, which is  
5 B/Harbin/07/94.

6 In China, the strains in the B/Victoria/02/87  
7 lineage have continued to be found, and as we have heard,  
8 there seem to be some antigenic alterations occurring in  
9 those strains even though they are not predominant.

10 Although a few strains that are like  
11 B/Victoria/02/87 have recently isolated, a large cohort of  
12 children, mostly those under 5 years old, have had no  
13 immunologic experience with those strains, and that is true  
14 not only in the United States, but probably elsewhere and  
15 may represent a population for future rapid introduction of  
16 those stains.

17 In addition to that, there are reduced antibody  
18 titers that we are finding in adult populations, as well,  
19 which has been increasing as time goes on.

20 The serologic responses of vaccinees have been  
21 uniformly good to the recent influenza B virus strains of

22 the Yamagata lineage, which includes with some few  
23 exceptions I would say recent strains, such as the  
24 B/Nanchang/24/96, and we have no new vaccine candidate  
25 strains at this point.

1 [Slide.]

2 So, for influenza B, going through this overhead,  
3 the first option would be to retain the current vaccine  
4 strain. In favor of that, the current vaccines appear to be  
5 immunogenic. The most recent strains are well inhibited by  
6 the post-immunization antisera, as we have seen, and  
7 manufacturing is well defined and predictable, as we have  
8 also heard. Opposed to that, I don't really have anything.

9 [Slide.]

10 The second option would be to change to a more  
11 recent strain, and in favor of that, I don't have anything,  
12 but opposed to that, there really is no predictable  
13 advantage if we change to another strain that would be like  
14 the Harbin/07 strain at this point, and we have found those  
15 superior alternate vaccine candidate strain.

16 Before we go to the next overhead, I will  
17 summarize for the H1N1 strains. There are genetic changes  
18 that have continued to accumulate in the H1N1 influenza  
19 viruses. The predominant strains in human populations are  
20 antigenically closely related to the A/Taiwan/01/86 and  
21 A/Texas/36/91 reference strains, however, there are changes

22 that are occurring, and amino acid deletion mutants,  
23 represented by the A/Beijing/262/95, have been isolated in  
24 China, and now we have heard potentially in Europe, as well.

25       Although activity of the H1N1 viruses has been

1 generally low in recent months, significant activity during  
2 the 1995 and 1996 season indicates that there is a continued  
3 potential for spread of these strains in human populations.

4 Human serologic responses suggest that the  
5 inhibition of some recent A/Texas/36/91-like strains may be  
6 reduced compared to the vaccine strain, which is different  
7 from the experience in recent years.

8 Although some strains isolated from recent  
9 outbreaks, such as those similar to the Shanghai/07 and  
10 08/96 strains may not be as well inhibited by antibodies, it  
11 is unclear how generalized that reduction might be.

12 The potential vaccine candidate strains similar to  
13 A/Shanghai/08/96 are currently available, at least in wild  
14 types, but there is really insufficient data to predict what  
15 the suitability for vaccine use might be.

16 [Slide.]

17 Options for the influenza A H1N1 vaccine  
18 component. The first option, of course, would be to retain  
19 the current vaccine strain, and in favor of that, the current  
20 vaccines are immunogenic. Again, manufacturing is well  
21 defined and it is predictable, and there has been a lot of



22 experience with the strain that is in the vaccine currently.

23       Against this, there are continued genetic and

24 antigenic changes occurring, and the current vaccines may be

25 inadequately protective against these newly circulating

1 strains. As we have heard, sometimes those strains can  
2 spread very quickly, maybe surprisingly so.

3 [Slide.]

4 The second option would be to change to a more  
5 recent strain, and in favor of that would be that we might  
6 get better antigenic matching between what is in the vaccine  
7 and the strains that are causing infection.

8 Opposed to that, it is not entirely clear at this  
9 point that the results for A/Shanghai and other strains are  
10 truly representative, and the strains related -- well, I  
11 shouldn't say this any more, this is different now, isn't it  
12 -- the strains related to A/Beijing/262 might be spreading.  
13 So, I guess that would be a pro, wouldn't it, to move that  
14 one up.

15 [Slide.]

16 The next overhead is the third option, which is to  
17 defer the recommendation on this strain to accumulate more  
18 data, and in favor of that would be the continued genetic  
19 and antigenic changes in the strains that are circulating.  
20 The current vaccines might not be adequately protective  
21 against those strains, and additional data might help us to

22 clarify exactly what direction we should go.

23       Against that is that there may not be any

24 difference in the data that we get. It may be the same as

25 what we are seeing how. Also, it may not be possible to

1 identify suitable alternate vaccine candidate strains

2 depending on which direction might be chosen.

3       Now, for the influenza A, H3N2 viruses, there has  
4 been continuing antigenic drift among the H3N2 influenza  
5 strains, and there is a group of strains suggesting a new  
6 genetic group appearing, as exemplified by strains, such as  
7 the A/South Africa/1147/96, however, a majority of the  
8 recent viruses which have been analyzed are very clearly in  
9 the A/Wuhan or A/Nanchang antigenic lineage.

10       Although some strains recently responsible for  
11 human infection are well inhibited by sera from people  
12 immunized with the current vaccines, the serologic responses  
13 appear to be reduced against some of the most recent  
14 viruses.

15       We do have a number of potential vaccine candidate  
16 strains, and there are several high-yield reassortants for  
17 some of those strains which are already available.

18       [Slide.]

19       The first option for the H3N2 vaccine component  
20 would be to retain the current vaccine strain, and in favor  
21 of that, most strains seem to be reasonably well inhibited

22 by the ferret antisera to the current vaccine strain. There

23 are exceptions to that.

24       Against that, some of the recent strains are less

25 well inhibited by the post-immunization antisera, and the

1 significant epidemic with the current vaccine strain this  
2 year makes it more likely than a drift variant will  
3 predominate in the next H3N2 season.

4 [Slide.]

5 The second option would be to change to a more  
6 recent strain. In favor of that, some recent strains are  
7 poorly inhibited by post-immunization antisera. A change  
8 would also potentially achieve a better antigenic match with  
9 the recent strains, and a number of alternative strains have  
10 the potential for being useful for production.

11 Against that, the choice of the strain could  
12 benefit from additional epidemiologic, serologic, and  
13 manufacturing information, which we really haven't had time  
14 to collect at this point.

15 [Slide.]

16 So that brings me to the third option, which is to  
17 defer to accumulate more data for this decision. In favor  
18 of that, more data are likely to be available as some more  
19 strains are examined. Since the H3N2 is likely to be a  
20 cause of significant morbidity and mortality, as it has in  
21 the past as we would expect in the future, this choice

22 should be made particularly carefully.

23       Against that, again, the information that we

24 collect may not really be any different from what we see

25 currently.

1 I will stop there, and if there are any questions  
2 or comments, take them.

3 If not, I will turn the meeting back to Dr.  
4 Ferrieri.

5 DR. FERRIERI: Thank you, Dr. Levandowski.

6 Committee Discussion/Recommendations

7 DR. FERRIERI: Well, you present some real  
8 challenges for us this year, and counterbalanced with that  
9 is the request for manufacturers to try to provide  
10 information as fast as possible. We may or may not be able  
11 to please everyone here.

12 Who would like to start out the discussion? Is  
13 everyone satisfied that they have as much information as can  
14 be provided today? Yes, Dr. Hall.

15 DR. HALL: Can I just ask what is the actual  
16 absolute deadline for deciding this in terms of  
17 manufacturers? We always go through this and everybody  
18 would always like more information, and we have to obviously  
19 balance the two. I would appreciate more guidance on what  
20 is the real deadline.

21 DR. FERRIERI: Dr. Levandowski, could you start to



22 address that?

23 DR. LEVANDOWSKI: I will, and the manufacturers

24 may wish to comment on that also. The longer the decision

25 is postponed, the more difficult it is for the manufacturers

1 to produce vaccine, as it has already been stated. I think

2 we all agree that that is true.

3 It is difficult to not only make the vaccine, but

4 it is also difficult to get the standardization reagents

5 produced in a timely fashion. I would say that we are late

6 this year in terms of starting to consider some of the

7 strains that might be used. If we would make a strain

8 change, we would be very late in terms of trying to get

9 information both for manufacturing and also for production

10 of reagents.

11 But in terms of an absolute deadline, I don't know

12 that there ever is an absolute deadline. Things are always

13 in relative terms with influenza, and we are always trying

14 to balance whether there is a vaccine produced at all or

15 whether the vaccine contains the antigenic composition that

16 most closely matches the strains that are likely to be

17 causing infection.

18 DR. FERRIERI: Realistically, Roland, how many

19 weeks do you think you can really -- how much longer do you

20 think it would take to come up with substantially more data,

21 four weeks, six weeks, or is it completely unpredictable?

22 DR. LEVANDOWSKI: I will give an answer and then  
23 maybe Nancy Cox would like to give an answer. I think that  
24 it would probably be a period of weeks. We have been  
25 scurrying for the last three weeks to get this information

1 together, and even now it is not complete. If we had more  
2 time, we would probably have more information, but I think a  
3 period of a few weeks would give us an opportunity to  
4 collect quite a bit more information and to amplify the  
5 results or the differences that we found already in terms of  
6 serologic responses and antigenicity, and maybe Nancy Cox  
7 would like to address this also.

8 DR. COX: I would like to make a couple of  
9 comments about coordination of flu vaccine strain  
10 recommendations worldwide. As many of you in this room  
11 know, the WHO makes vaccine strain recommendations usually  
12 in mid-February, and in general, a significant amount of  
13 data are developed between our own national meeting and that  
14 February meeting.

15 Although the time period is short, we are really  
16 cranking out data at full speed, and so there is no question  
17 that we will have a significant amount of new antigenic and  
18 genetic and potentially serologic data available by February  
19 17th through 19th, when the WHO meeting occurs.

20 I think that given the amount of worldwide travel  
21 that occurs, and so on, it is very important to coordinate

- 22 the decisions because we get many, many questions at CDC
- 23 about what travelers should do if the European
- 24 recommendations are different from the U.S. recommendations,
- 25 we get calls from the military about whether the military

1 stationed in Europe should receive a different vaccine, so  
2 it is very important to have coordination and clarification  
3 of the strains that are used in vaccines worldwide.

4 DR. KILBOURNE: Could I comment?

5 DR. FERRIERI: Yes, please.

6 DR. KILBOURNE: I can't speak for manufacturers.  
7 My understanding from previous discussions through the years  
8 have been we have been faced actually with several  
9 deadlines.

10 If a definite choice could be made on one of the  
11 three strains, they can immediately stick their virus into  
12 those eggs, so they don't go to waste, and my reading, my  
13 own personal reading of what is going on is that most likely  
14 they will stick with the B, and maybe some decision could be  
15 made on that pending further information from the Geneva  
16 meeting.

17 DR. FERRIERI: Thank you. Yes.

18 MR. THIBOUTOT: Roth Thiboutot again. Our choice,  
19 I guess our need is for the second strain, not the first.  
20 Between all the manufacturers, between two weeks and 20  
21 days, if we don't have a second strain, we have an I Love

22 Lucy movie occurring. We would have a lot of eggs coming in

23 and nothing to go in them. So, those are the exact facts.

24       Some manufacturers it is two weeks, some

25 manufacturers it is 20 days for the second strain, not the

1 first strain. So, that is the reality, and the reality is,

2 it is not only, as I said, financial.

3       What will occur at the end of the season, we will

4 not manufacture enough vaccine because we have had this

5 break, we are counting on every single day, and between all

6 the manufacturers, we are cranking out a couple of hundred

7 thousand doses a day, and there will be no making up for

8 them, because of the fact that flu has to be sold in August

9 and September, if you will, so it will be available.

10       Those are the horrible facts, I guess, but those

11 are the facts.

12       DR. FERRIERI: Would you refresh our memories, Dr.

13 Levandowski. Last year I thought we had only make one

14 recommendation at the committee meeting, and then the other

15 two came later, is that not true?

16       DR. LEVANDOWSKI: Yes, but I think we probably had

17 a firmer direction at the beginning of the year last year

18 than we really do now. The discussion really was, for the

19 most part, centering around the H3N2 component of the

20 vaccine, which was not very clear at all.

21       DR. FERRIERI: Dr. O'Brien.



22 DR. O'BRIEN: Actually, listening to your summary  
23 versus what I had heard before, I would have said that it  
24 was the H1N1 that was the most problematic in this worry  
25 about a potential for the Beijing isolate to move further

1 than Europe, and I wouldn't, in listening to you, have  
2 predicted that we would be in such a dilemma about the -- I  
3 mean the other people -- the H3N2, and yet you presented it  
4 as actually that is the most problematic.

5 What am I missing here?

6 DR. LEVANDOWSKI: I may ask for some help from  
7 Nancy Cox on this also, but I think we are concerned by the  
8 results that we have seen from the serologic responses to  
9 current vaccines.

10 We have heard that there is antigenic  
11 heterogeneity, and there is a new genetic group which seems  
12 to correspond to a group -- at least one of the strains that  
13 was used in the serologic procedures -- where there may be  
14 some reduction in the antibody responses. If that is a  
15 group that is expanding rapidly and may have the potential  
16 for being the predominant strains in the future, I think we  
17 would want to try to get more information that would help to  
18 make that clear.

19 Is the expansion of the A/South Africa/1147-like  
20 strains such that it would warrant consideration for  
21 changing that strain?

22           Maybe Nancy Cox would like to answer that.

23           DR. COX: Only just briefly. What Roland said

24 about that genetic group expanding is true, and we want to

25 have time to look at additional viruses in that group in

1 cross tests and in serologies, and to do a good bit of  
2 sequencing. The reason that we considered the H3N2  
3 candidate so carefully is that it is responsible for a lot  
4 more serious illness.

5 DR. FERRIERI: I think it might be helpful if you  
6 could redirect us to some of the data that would permit us  
7 to understand cross-neutralization data from the ferret  
8 antisera to the emerging new H3N2 strains, or is there just  
9 not enough data? I can't put my finger on it instantly, Dr.  
10 Cox. That might help us in our deliberations to be able to  
11 now target some precise information rather than our having  
12 seen everything laid out.

13 If we could take a few minutes, if you don't mind.  
14 Would others of the committee agree that this might be  
15 valuable? We have had to digest this information quite  
16 recently and fast.

17 I would like to state that everyone on the  
18 committee is committed to trying to arrive at the very best  
19 decisions possible with the information at hand,  
20 appreciating very much the problems the manufacturers are  
21 presented with, but it would be very rash of us to neglect

22 anything critical that is emerging, as well.

23 DR. COUCH: If I could while we are waiting, I

24 just have two or three general comments I was interested in

25 making before you maybe come to grips with specific

1 decisions.

2 DR. FERRIERI: Yes, please.

3 DR. COUCH: By the way, over the years since I  
4 have been sitting here, the amount of data that is provided  
5 for these decisions has grown almost in logarithmic  
6 proportions. I decided when I looked at that packet last  
7 night, I am going to make me start taking an earlier plane,  
8 so that I can spend more time kind of digesting some of that  
9 data. I think we ought to say how nice it is to have the  
10 global perspective presented more completely than I think we  
11 have heard in most years, and to have Britain and Alan  
12 Hampson visiting us with that data, too, I think that is  
13 interesting.

14 Just from the point of view of trying to come to  
15 grips with it a little bit, one of the deficiencies -- let  
16 me just call it a minor rather than a major deficiency -- we  
17 have really got a lot of strain information now, almost more  
18 than we can digest, and you are really doing a complete job  
19 of serologic responses in all age groups scattering around  
20 pretty well.

21 In trying to assess these things, that third

22 ingredient is the epidemiologic significance of the strains  
23 we are looking at, and that is not clearly given to us each  
24 time. I think Helen gave some -- this is a sporadic  
25 isolate, this is an outbreak isolate, so we have got a

1 little better feeling of it is still restricted to China, it  
2 is a sporadic isolate, or it is a clear-cut outbreak. That  
3 sort of information is also important input to the  
4 decisionmaking, and that wasn't clear in the data.

5       So that would be one of my requests, that in the  
6 future, perhaps that information be more clearly presented  
7 to us, as well.

8       The last comment before you go on to the decisions  
9 that I wanted to make was support for the concept of  
10 deferral, not to make the manufacturers have a hard time,  
11 but because I think that from my point of view, that is the  
12 one introduction since I have been sitting here that has  
13 probably accounted for more of the recent vaccines having  
14 the perfect match or the almost perfect match by the  
15 epidemic than most of the decisions that we have made around  
16 here.

17       So that it really is a give and take I think, as  
18 Roland has said, with the manufacturers, not to be punitive  
19 to the manufacturers, but to make the best decision and to  
20 move when we have to, but that ability to defer rather than  
21 all three strains today, which is the way it was when I



22 first came on this committee, I think has been a major help

23 in the matches.

24 DR. FERRIERI: Thanks, Bob.

25 This was not intended for us to rapidly get to the

1 decision, but in my opinion to amplify and having us more  
2 targeted.

3 Dr. Cox.

4 DR. COX: Yes.

5 [Slide.]

6 What we really would like to be able to do for the  
7 H3N2 strain is gather more information on those viruses that  
8 are fourfold or greater down with the Wuhan and Nanchang  
9 strains with the antiserum to Wuhan and Nanchang, and find  
10 out are these viruses in one specific genetic group or not.  
11 That is the primary use of our sequence analysis, to  
12 determine whether the viruses which are antigenically  
13 different from the vaccine strain are all in one group or  
14 predictably in one group because of the antigenic profile or  
15 if they are scattered around.

16 If we can determine the trends more precisely than  
17 we are able to do at the moment, I think we will be much  
18 clearer in our thinking about where we need to go, if we  
19 need to change directions with the H3N2 vaccine strains.

20 DR. FERRIERI: What do you view as representative  
21 of the new strains, the South Africa/1147/96?

22 DR. COX: The South Africa is one representative,  
23 and so what you can see in this test is that the ferret  
24 antiserum to Wuhan inhibits the South Africa virus fourfold  
25 less well, and we consider fourfold differences to be -- if

1 they are reproducible -- to be important or potentially  
2 important.

3 As Helen pointed out, the South Africa homologous  
4 titer is rather low, and so we don't therefore see a two-way  
5 difference, and sometimes when we have seen one-way  
6 differences in the past, they have not been very important,  
7 and sometimes they have turned out to be more important as  
8 the viruses continue to move.

9 So this is a somewhat ambiguous situation. If we  
10 had only the ferret data, we probably wouldn't worry all  
11 that much, but we have the post-vaccine serology data, and  
12 that gives us pause.

13 DR. FERRIERI: That is a very important point  
14 because I wasn't impressed that these differences were  
15 perhaps major enough regarding what the biologic  
16 significance might be than in humans is really the issue  
17 that we must consider.

18 Dr. Reingold.

19 DR. REINGOLD: Can I just understand a little more  
20 clearly, when you say that you would like to understand  
21 better whether these are genetically similar or genetically

22 different to ones where there is less antibody, how would  
23 that influence the decision? I mean could you take me  
24 through the thinking of that in terms of each of the  
25 scenarios, what the implications would be for the selection

1 of the vaccine candidate?

2 DR. COX: Perhaps I can do that best by  
3 recapitulating what happened last year. We had seen some  
4 antigenic drift, actually it was more dramatic than this for  
5 the Wuhan strains, and we wanted to find out if that group  
6 of viruses was really expanding and growing.

7 If we see that the viruses that are different  
8 antigenically are falling into one of the genetic groups,  
9 that is a clear indication that that group is really growing  
10 and going somewhere both antigenically and genetically.

11 On the other hand, if we see viruses that are  
12 located in the evolutionary tree in very different  
13 positions, all being down, there is not such a clear  
14 evolutionary trend even though you may see it reflected in  
15 the antigenic analysis. The genetic analysis tells us that  
16 the viruses are simply very variable, and there is no  
17 particular direction that they are going. If there is an  
18 indication of a direction, it tells us where to go with the  
19 vaccine.

20 DR. KILBOURNE: Nancy, what you are saying is the  
21 phylogeny really is very important in terms of the

22 epidemiology, and it is an epidemiologic question.

23 DR. COX: That's right, and although we haven't

24 presented it very clearly today, we do try to sift through

25 and look to see what information we can gather worldwide

1 about the extent of influenza activity that was occurring at  
2 the time the particular virus isolation was made, and that  
3 also is taken into consideration.

4 So, we will try to present that information more  
5 clearly.

6 DR. KILBOURNE: Just a quick comment on the South  
7 Africa again. The differences you are seeing, although they  
8 are fourfold, you are not sure of the other direction, they  
9 are in the worrisome direction.

10 DR. FERRIERI: Dr. Glode and then Dr. Belshe and  
11 Dr. Apicella.

12 DR. GLODE: This would also be a question for Dr.  
13 Cox.

14 I just want to ask a question about vaccine  
15 efficacy. If we now have -- this was my understanding and  
16 someone can correct me if this is wrong -- some 80 million  
17 doses of influenza vaccine produced, but still a large  
18 epidemic of H3N2, and at least in some of these tables, a  
19 strain that at least in the elderly and children doesn't  
20 look very immunogenic in humans, then, is there also a part  
21 of this that either is being done by other individuals each



22 year, some sort of case control study that gives an estimate

23 of vaccine efficacy?

24 I guess I am wondering if we are sure we have an

25 efficacious vaccine that we are widely distributing, because

1 the epidemic curves aren't really going down, you know,  
2 maybe it was going to be huge, but is that part of it? Do  
3 we have any information on that?

4 DR. COX: That is a very good question, and we  
5 have fielded similar questions almost every year at this  
6 meeting. Unfortunately, we haven't had funding and so on to  
7 do some of the vaccine efficacy studies that we would like  
8 to have done over the past few years.

9 There is an effort underway to look at least in  
10 Medicare populations at vaccine efficacy against  
11 hospitalization on an ongoing basis, so we will have  
12 comparative data.

13 We know from past experience that it is very  
14 important to compare H3 in two years, and not compare H3N2  
15 with B years, because it is simply not a valid comparison.  
16 So we hope that in the future, there will be historical data  
17 available at least on hospitalization.

18 There are some smaller ongoing trials.  
19 Unfortunately, some of the trials that are going on with the  
20 live attenuated vaccine have not had an inactivated vaccine  
21 arm. I was hoping that those trials would have, so we would

22 have that data.

23 We have a small trial in day care population. We

24 will have some data probably in about six months' time.

25 Kristin Nickle is going to be developing her information

1 from her HMO population. She is primarily looking at  
2 healthy individuals 65 and older. So, there will be some  
3 data available later on.

4       There has actually been rather a lot of concern  
5 about vaccine efficacy this year, and it certainly for us  
6 has been difficult to understand, because the vaccine match  
7 has been good, as we have shown you.

8       We think that perhaps part of this is due to the  
9 fact that there are a lot of other respiratory viruses  
10 circulating at the same time, but we also feel that there  
11 are an unusually large number of reports to us of vaccine  
12 failures, and we don't quite know how to interpret this, so  
13 we are looking forward to the data that will be available in  
14 about six months' time.

15       It is true that the post-vaccine serologic titers  
16 were rather low in many populations.

17       DR. FERRIERI: Thank you.

18       Dr. Belshe.

19       DR. BELSHE: I actually wanted to followup, Nancy,  
20 on that particular point, and clarify regarding these  
21 children down at Vanderbilt. These are two doses of vaccine

- 22 given to children an average age of 13 months, so
- 23 presumably, they are not previously infected, is that right?
- 24 And the striking is that H1 and B antibody responses look
- 25 quite good, but the H3 antibody responses look quite low or

1 absent.

2 DR. LEVANDOWSKI: Which tables are you looking at?

3 Is that John Treanor's tables?

4 DR. BELSHE: I am looking at the tables in the

5 CBER document.

6 DR. LEVANDOWSKI: As was pointed out, our results

7 for the H3's were atypically low compared to other of the

8 laboratories that we are looking at, at vaccine responses

9 this year. John Treanor might want to describe the results

10 that he got in his laboratory, because I think their H3

11 results are more typical than we have.

12 DR. TREANOR: Those are kids that Bill Gruber

13 vaccinated who are in a clinic, and largely are children, I

14 think, with bronchopulmonary dysplasia, and they received

15 two doses of 0.25 ml of vaccine separated by a month, and

16 this is before the first dose and a month after the second

17 dose.

18 When we did the titers on this, we got an 84

19 percent response rate to the Texas. This is in the handout

20 that I don't have overheads for, a 94 percent response rate

21 to the Harbin, and 69 percent response rate to the Nanchang.

22           In the H3 group, those kids -- and there is 30 of  
23   them in this group -- 69 percent responded to Nanchang, but  
24   only 16 percent responded to South Africa, and the mean GMT  
25   is 29 to Nanchang post-vaccination, and only 8 to the South

1 Africa virus.

2 DR. BELSHE: I would say that is pretty good data  
3 in humans, that if there is a change in the strain  
4 circulating in the world, then, we need to mirror that in  
5 the vaccine. We will come back to the epidemiology data on  
6 what H3 is going to circulate next year.

7 DR. FERRIERI: Dr. Apicella.

8 DR. APICELLA: I just want a clarification. A/  
9 Auckland/05/96 is a reassortant?

10 DR. LEVANDOWSKI: The IVR/9 strain is a high-  
11 growth reassortant.

12 DR. APICELLA: It is a high-growth reassortant.  
13 So that is available. That would fit in the South Africa  
14 group?

15 DR. LEVANDOWSKI: No. That would be more in the  
16 Wuhan/359- Nanchang/933/95 group.

17 DR. FERRIERI: Dr. Couch.

18 DR. COUCH: I think the kids come closer to being  
19 like ferrets. That is what we are looking at.

20 DR. FERRIERI: Every parent will thank you.

21 DR. EDWARDS: Spoken like an internist.



22 [Laughter.]

23 DR. FERRIERI: Dr. Adimora.

24 DR. ADIMORA: This may have already been

25 mentioned, but I seem to have gotten lost here. I wanted to

1 ask about the frequency of isolation of some of the -- well,  
2 both the H3N2's and the H1N1's with low post-vaccine GMT's,  
3 just to get sort of an idea of what their importance is, if  
4 that is possible.

5 I mean were they just sporadically -- I just have  
6 gotten lost in all this data about the frequency of  
7 isolation of some of these particular viruses with low  
8 GMT's.

9 DR. LEVANDOWSKI: Is the question about why choose  
10 those particular strains to do the serologies and how  
11 representative are those strains for all the strains that  
12 are circulating in terms of the serologic responses of  
13 people? Nancy Cox and Helen Regnery will have to answer. I  
14 think they were discussing it.

15 This is a question for you and Helen, Nancy, about  
16 the frequency or the representativeness of the strains used  
17 for the serologic procedures.

18 DR. REGNERY: The South Africa/1147 that we were  
19 discussing is a different genetic group, we actually just  
20 started testing that virus recently, and the data I have  
21 from South Africa itself is limited. Alan Hampson has more

22 information on what the viruses circulating were actually  
23 characterized as, and they were Wuhan-like, but South  
24 Africa, I don't know what percentage and how testing was  
25 done in South Africa to show that 1147 might be more

1 prevalent than the 359. We haven't had the virus long  
2 enough to run a large set of sera antigens against it to  
3 really know what kind of percentages you would have and  
4 frequency of isolation.

5 As we test more viruses -- and there are a lot of  
6 H3 viruses to be tested -- might be able to get that  
7 information from our own U.S. isolates or either from China.

8 DR. FERRIERI: Other questions from members at the  
9 table? Dr. Reingold.

10 DR. REINGOLD: If I could follow up on Dr. Glode's  
11 question just a little bit. It seems to me that if this is  
12 a bad epidemic year, there are obviously a number of  
13 possible explanations. One is that the formulation of the  
14 vaccine is not a good match this year. The second is that  
15 it is a good match, but it is not opened.

16 A third is that the coverage is very low. A  
17 fourth is that most of the disease is not caused by flu  
18 virus, but it is caused by something else, and I would just  
19 like to say that we don't have some sense of which of those  
20 is the right answer.

21 Are we looking, for example, at the data from the

22 U.S., it looks like an average of about 20 percent of the  
23 specimens submitted for influenza testing turn out to be  
24 influenza. I mean is that the figure we would expect in any  
25 given year, is that high, is that low? I guess I am baffled

1 that we don't have some sense of which of these is the most  
2 important factor.

3 DR. ZAMBON: I can say a little something about  
4 surveillance data as carried out in the U.K. and Europe.  
5 Good surveillance data based on good clinical acumen usually  
6 yields a specimen positivity rate of the order of 25 to 33  
7 percent positive for influenza at the peak weeks of the peak  
8 season.

9 Overall, you might expect to see something of the  
10 order of about 20 percent positivity, and I don't think you  
11 ever see very much in excess of about 35 or 40 percent of  
12 surveillance data based on culture of virus.

13 If you look detection for detection of virus by  
14 molecular methods, let's say, by PCR, you may go as high as  
15 50 percent, but in most surveillance schemes I am aware of  
16 in European countries, the positivity rate for flu by  
17 whichever method you use is never more than about 50  
18 percent, which means there is always going to be a  
19 substantial component of respiratory illness not detected  
20 elsewhere.

21 I think at the beginning of her talk, Dr. Regnery

22 actually indicated that, or one of the American talkers,  
23 indicated that some 20 percent of surveillance specimens  
24 submitted in the United States was of the order of 20  
25 percent positive. So, I would say that is at least

1 comparable with the European data.

2 DR. FERRIERI: Thank you, Dr. Zambon.

3 Does anyone else wish to address some of Dr.

4 Reingold's point, though, regarding the epidemiology of

5 respiratory illness?

6 Dr. Clements.

7 DR. CLEMENTS-MANN: I can't remember which figure

8 was cited, but there was an age group distribution I thought

9 for hospitalization, but I could be wrong, which

10 demonstrated the higher peaks were actually in the younger

11 age group, the 18 to 64 age group, and also in the less than

12 5-year age group, and typically, those would probably be age

13 groups that are not routinely vaccinated for influenza.

14 So, there clearly is a good influenza epidemic in

15 many of the younger age groups, and so we would really need

16 to look more directly at age groups that had been vaccinated

17 to really get an idea of the vaccine efficacy, because the

18 epidemic seems to be somewhat -- at least that is the only

19 data that I remember seeing by age group, but that would

20 suggest that a lot of the epidemic is occurring in

21 unvaccinated age groups.



22 DR. FERRIERI: Dr. Couch.

23 DR. COUCH: For this kind of discussion, it seems

24 to me it is important to appreciate that the policy for

25 vaccine use in this country is not to prevent epidemic

1 influenza; it is to prevent hospitalization and death, and  
2 while we are making real inroads in approaching that high-  
3 risk population, the last figures I heard were still between  
4 50 and 60 percent, so roughly half of the population that is  
5 the major target is still unvaccinated.

6       So, there is a task yet there in front of us, and  
7 even if you take the 180 million doses, and let's say it was  
8 all delivered, you know, we are about 250 million people.  
9 We are talking about a third of the people were vaccinated  
10 and if it is 70 to 90 percent effective, we have only done  
11 something for 20 to 25 percent of the population, and the  
12 population that is less likely to respond than those healthy  
13 individuals.

14       So, this is not for epidemic control. We can't be  
15 surprised at that part of it. Then, when you look at what  
16 you do get, you see, you are looking at the vaccine failure,  
17 at the person that got sick, so those are the persons who  
18 would yield the strains that only when we can begin to do  
19 the kinds of things Nancy was saying we need to do can you  
20 then begin to answer the questions that are being raised  
21 around here, and that data is not available right now.

22 DR. REINGOLD: My only point would be to P&I death  
23 data where now 8 percent of the deaths are being from  
24 pneumonia, influenza, being substantially above the 95  
25 percent confidence intervals and what is expected, that that

1 obviously is an indication that either this is a bad

2 epidemic year or something --

3 DR. COUCH: Or they weren't vaccinated. We don't

4 know is the major point.

5 DR. COX: One of the things about the P&I

6 mortality data, it is always very attractive to look at P&I

7 mortality curves and say, oh, the vaccine is doing something

8 or the vaccine isn't doing something, but it is only when

9 you look in vaccinated and unvaccinated populations that you

10 can really see what influenza vaccine is doing, because the

11 different strains cause very different effects in the

12 population.

13 DR. FERRIERI: Yes, Dr. Eickhoff.

14 DR. EICKHOFF: I think it is important that we all

15 realize that influenza vaccine is an imperfect instrument at

16 best. Even under ideal circumstances when there is a very

17 close match with the wild virus and the vaccine strain, and

18 in healthy young adults, the best that can be achieved in

19 terms of efficacy is somewhere around 85 to 90 percent.

20 That figure deteriorates as you get into older

21 adults and deteriorates still further when we talk about

22 elderly adults. So, I think what happened this year is not  
23 a huge surprise, and certainly does not necessarily indicate  
24 that there was not a good match between the wild virus and  
25 the vaccine strain. The vaccine may not have been all that

1 potent.

2 DR. COUCH: Or that there was a significant  
3 reduction in mortality --

4 DR. FERRIERI: Dr. Couch, would you repeat what  
5 you just said into the microphone for the transcriber?

6 DR. COUCH: Just a final phrase at the end of Dr.  
7 Eickhoff's comment, that we don't know that there might not  
8 be significant reduction among vaccinated individuals.

9 DR. FERRIERI: Correct.

10 Dr. Clements.

11 DR. CLEMENTS-MANN: I just wanted to say that the  
12 H3N2 strain is typically more virulent and results in more  
13 illness than either the B or the H1N1, so I think what we  
14 are seeing is the effects of a virulent H3N2 virus, and  
15 whenever it circulates, and there are enough susceptibles,  
16 we see a lot of excess illness.

17 DR. FERRIERI: Dr. Kilbourne.

18 DR. KILBOURNE: Well, taking off on the last  
19 point, I would agree. I think the evidence is that H1N1  
20 tends to be the milder cousin in terms of population  
21 penetrants and in terms of individual case morbidity,

22 although having been alive in 1947, there were lots of

23 people that had temperatures of 104 with H1N1.

24       It seems to me that we have a peculiar dilemma

25 with the H1N1 this year because on the one hand, I think the

1 evidence is from the last few years that the virus is kind  
2 of struggling to survive in the presence of the more  
3 effusive H3N2, and you could make a case that since we did  
4 have an H3N2 year, and also a fairly big one last year, that  
5 maybe there would be a vacuum in which the H1N1 can now  
6 emerge.

7       On the other hand, if the incidence, as it appears  
8 to be, is sort of dwindling, the virus may die, and the  
9 subtype may disappear, but I think it is wise not to make  
10 any assumptions of that sort about any flu strain, so I  
11 think we should use judgment there.

12       I am struck by the data from Nancy Cox on the  
13 genetic characterization, in which Beijing/262 seems to be  
14 such an outlier, and seems to go along with its antigenic  
15 differences.

16       I was pointing out earlier it is not only with the  
17 hemagglutinin, but there is evidence now that the  
18 neuraminidase is less related, as well. So, it could be a  
19 potentially dangerous virus in terms of epidemic emergence,  
20 but having said all that, I think we can't forget the  
21 ability of H3N2 to keep continually changing and the South



22 Africa strain data would indicate that significant changes

23 can occur there.

24 I don't know whether you want a recommendation

25 from me or not, but those are just comments.

1 DR. FERRIERI: We will defer that for a few  
2 minutes perhaps, Dr. Kilbourne, there is a need for a little  
3 more discussion.

4 Dr. O'Brien.

5 DR. O'BRIEN: Actually, it is a little dated now,  
6 the discussion has changed, but back to how do you tell  
7 whether the vaccine is efficacious, and we really can't tell  
8 because not all the elderly are immunized, but we do have a  
9 population that is always immunized, and that is the  
10 military, and they are young and healthy.

11 Assuming they get immunized on time, which was a  
12 discussion point that came up earlier in private, we should  
13 be able to get some data from them.

14 DR. FERRIERI: In terms of efficacy.

15 Dr. Apicella, did you have your hand up also?

16 Other points?

17 Yes. Could you give us your name, please.

18 MR. HOKE: My name is Charles Hoke, and I am in  
19 the Army, obviously. This issue of efficacy is obviously  
20 one of great concern to the military. We certainly don't  
21 have any kind of control data, but surveillance is conducted

22 on basic training posts for acute respiratory illnesses, and

23 as a general rule, rates are very, very low no matter what

24 else is going on in the community.

25 That surveillance is conducted by the Center for

1 Health Promotion and Preventive Medicine, the CHPPM. I  
2 don't know if anyone is here from there and can comment on  
3 current data or not, but I would say, as a general rule,  
4 these people are immunized, they get influenza vaccine, they  
5 get adenovirus vaccine, and in an attempt to keep  
6 respiratory rates low, because when they become ill, they  
7 have to recycle through their training, and that is  
8 expensive and difficult to do.

9 As a general rule, as I said, the rates of  
10 respiratory disease in these populations are very, very low  
11 year after year regardless of what else is going on in the  
12 community. So, although this is somewhat anecdotal data, it  
13 certainly suggests that you are doing the right thing in  
14 general with your selections.

15 DR. FERRIERI: Thank you.

16 MR. HOKE: If I could make one more comment about  
17 that, that also is a population that is a very valuable one  
18 potentially for detecting outbreaks of influenza due to  
19 viruses that are not well covered by the vaccine, so this is  
20 one of the reasons we are looking, because we feel that  
21 since it is a universally immunized cohort, that outbreaks

22 of disease there would be very significant potentially.

23 DR. FERRIERI: As many of you have gathered, we

24 are not taking a second break this morning. The momentum is

25 too good to give up on. So we will just keep going and then

1 come up with our recommendations.

2 Dr. Broome.

3 DR. BROOME: I am struck by the discussion on  
4 assessing effectiveness of the vaccines. This is something  
5 we could discuss forever and be no closer to the truth.  
6 Either we have to decide this is something that is worth  
7 knowing, in which case it is going to take some very  
8 focused, very carefully designed work to get the answers,  
9 are we trying to get a better comparison of titers with  
10 protection, and that would suggest certain ways of going,  
11 but I think the anecdotal data is essentially useless for  
12 the reasons that have been mentioned, and therefore, it is  
13 at best misleading to speculate about this being a poor  
14 efficacy vaccine just because of the inability to have a  
15 precise case definition, the lack of knowledge about  
16 vaccination rates when you look at overall surveillance  
17 data, et cetera.

18 I must say I would favor -- I think it would be  
19 important for us to know a little more about the efficacy of  
20 these vaccines, which essentially do change each year. We  
21 are making big assumptions that we can predict vaccine

22 performance based on titers, and it may well be worth  
23 thinking about some focused studies to recheck that  
24 hypothesis, but I think it is going to take resources and a  
25 well-designed effort to do it.

1 DR. FERRIERI: Thank you.

2 Dr. Hall.

3 DR. HALL: May I just ask Nancy or Helen a  
4 technical question in terms of the HI, particularly for the  
5 H3N2, and that is, when you noted that the South African was  
6 low against itself, and it was down onefold, I guess,  
7 against one other virus, does that in and of itself have any  
8 correlate in terms of what produced immunogenically in a  
9 human? I mean as you look at this, it looks like the  
10 Nanchang would do just as well. It was down onefold to two  
11 of the viruses. So, is there any correlate we can take from  
12 that?

13 DR. COX: We don't believe that there is a  
14 correlate, that the homologous titers produced with the  
15 post-infection ferret sera are probably not indicative of  
16 the immunogenicity of the strain in humans, unfortunately.  
17 It would be extremely nice to have an animal surrogate for  
18 immunogenicity in humans and have a bit more time to  
19 actually look at the new strains, but we are always so  
20 pressed to make the recommendations that we have very little  
21 time to develop animal data, but nevertheless, I think it is



22 worth looking at this.

23 DR. FERRIERI: Do we all have enough information

24 at the table, have we taken the questions and discussions

25 far enough now that we can address what our recommendations

1 would be? Dr. Edwards, do you have a point?

2 DR. EDWARDS: I had a question in terms of since  
3 there are so few H1N1 strains that are being isolated, will  
4 there be additional data gleaned from more isolates over the  
5 next several weeks or not. I was a little confused by that.

6 DR. COX: I think there will be limited additional  
7 information, however, I think it is very important to  
8 followup on the observation in Switzerland, and as Helen  
9 mentioned, there are another seven influenza isolates from  
10 the same hospital, and it is important to find out if they  
11 are Beijing/262-like or if they are simply Taiwan-like  
12 isolates, or if they are H3N2.

13 DR. FERRIERI: Dr. Eickhoff.

14 DR. EICKHOFF: Can I follow that up with a  
15 question to Nancy? Well, more broadly than Nancy, to all of  
16 us. If those seven viruses in Switzerland were found to be  
17 the variant, the Wuhan-like H1N1 viruses, which heretofore  
18 have been previously limited to China, would we on the basis  
19 of that information be ready to recommend changing the H1N1  
20 component to that screen? That is the only reason,  
21 justification to defer a decision.

22 DR. FERRIERI: What more would we need then, Dr.  
23 Cox, I mean, realistically, how much more would be available  
24 in two weeks, and then if that were the case, as Dr.  
25 Eickhoff indicates, could we come up with a recommendation?

1 We have to get off the dime at some point on H1N1.

2 DR. COX: I was hoping that question would go  
3 away.

4 DR. FERRIERI: I am afraid not.

5 DR. COX: I think it is a good question for all of  
6 us, and I think that in addition to having the information  
7 about the other seven isolates, we would have some sequence  
8 data that have not been developed yet, where we would be  
9 looking at some of the isolates that react a bit lower to  
10 the Texas antiserum, and so there will be additional  
11 information in spite of the fact that there won't be a lot  
12 of new isolation information.

13 DR. FERRIERI: And the prototype has not been  
14 distributed yet even to the manufacturers.

15 DR. COUCH: Another part of this is whether CBER  
16 will have reagents and the viruses to even back that up.

17 DR. FERRIERI: Exactly.

18 Dr. Clements.

19 DR. CLEMENTS-MANN: There is information and  
20 things that would need to be done to have a contingency plan  
21 to select an alternate strain, you know, to narrow down the

22 possible strains to a few, and to see what kind of growers  
23 they are, and then to see what can be done about reagents,  
24 because it seems like if we are deferring, we would like to  
25 have some of that backup, so that then we could go into full

1 gear making the vaccines.

2 DR. FERRIERI: Dr. Levandowski.

3 DR. LEVANDOWSKI: Yes. Of course, we try to get  
4 that information as expeditiously as possible, and, of  
5 course, the manufacturers supply a lot of the very valuable  
6 information in terms of understanding what the different  
7 strains will do.

8 They are very good at getting things to grow. If  
9 anybody can do it, they will, but it does take them time.  
10 It takes them two to three weeks to have some good  
11 indication as to whether a strain really is going to be a  
12 good grower or not.

13 It takes several weeks, it takes at a minimum  
14 three weeks if one is lucky, but more likely, six weeks or  
15 more to make a high-growth reassortant and have a clone that  
16 seems to be the right one that you can be confident about  
17 the hemagglutinin and the neuraminidase.

18 So, we do try to distribute and try to get the  
19 reassortants going as soon as we recognize that there may be  
20 a need for those, but there are some limitations. The  
21 manufacturers also cannot work with that many strains. We

22 try to get them the ones that seem to be the most different  
23 and the most interesting in terms of new changes, and I  
24 believe we have done that for the wild type strains at this  
25 point.

1       We have not necessarily done that with high-growth  
2 reassortants because we don't have those yet for the strains  
3 that may be the most interesting.

4       DR. FERRIERI: Any other points? Art?

5       DR. REINGOLD: If I could just followup on Dr.  
6 Eickhoff's question, I think, Nancy, you got to just a  
7 little bit. I think that the fundamental question is that  
8 once you know everything you could possibly know about those  
9 H1N1 strains, is there a scenario you could conceive of  
10 where those data would dictate changing the formulation of  
11 the vaccine?

12       I mean is there a scenario you could envision once  
13 you have all of those data, where that would make for a  
14 compelling reason to change the vaccine this year? I mean  
15 isn't that the question?

16       DR. KILBOURNE: The scenario is that it will  
17 spread very rapidly.

18       DR. REINGOLD: But I am saying two weeks from now,  
19 if you have finished doing everything you can possibly do to  
20 those isolates, you know everything you could possibly know  
21 about them, could you have a set of data on those isolates



22 that would say, aha, we should change to a different strain?

23 DR. FERRIERI: Dr. Cox.

24 DR. COX: I am not sure I can answer it very

25 clearly, but what I would say is that if it turned out, for

1 example, that a number, five of the seven strains were the  
2 H1N1 deletion variant, and we found out that the patients  
3 were really quite ill because we know that these isolates  
4 came from a hospital so presumably the patients were  
5 hospitalized, we would really be quite worried.

6 I am sure that there will be a lot of attention on  
7 this observation in Europe, and that there will be a lot of  
8 scrutiny of any H1N1 isolates that come through, and data  
9 can develop very rapidly. So, I think that we would be wise  
10 to wait and see what comes up in the next three weeks.

11 DR. FERRIERI: I might add, though, that in many  
12 European countries, as well as even here, outpatient samples  
13 are submitted to virology diagnostic labs, so one cannot  
14 make the assumption that these were very ill patients who  
15 were hospitalized. You would need precise data.

16 Other points? Dr. Couch.

17 DR. COUCH: Just repeating a point I made earlier,  
18 and that is that with what Nancy is saying, that in the  
19 past, this has worked very well to actually defer that  
20 decision rather than -- I guess we had the option here of  
21 decision now or decision based on certain contingency

22 information -- is delay that decision until all of the data  
23 is in hand, a little time goes by, you think about it more,  
24 you consider it more, and then there is a big conference  
25 call, and I think in general those have worked quite well.

1 DR. FERRIERI: Well, they have worked very well in  
2 my more recent experiences, as well as the previous time I  
3 was on committee, so I am a little bit perplexed this year  
4 about the urgency. Well, there is urgency from the  
5 manufacturers' point of view, but I still think that it  
6 would be best to have the information.

7 DR. KILBOURNE: I worry since we are talking now  
8 about mild versus virulent strains, they are all virulent,  
9 and I think that to get anecdotal or insufficient  
10 information or even complete information about a few  
11 hospitalized cases, it certainly should not influence our  
12 decision.

13 DR. FERRIERI: I agree.

14 DR. KILBOURNE: It is either a flu or it isn't,  
15 and it is going to spread or it isn't. So, I think the  
16 epidemiologic considerations, and Nancy's phylogenetic  
17 considerations, which is part of the epidemiology, should  
18 prevail in making a decision.

19 DR. EDWARDS: Not exactly related to the choice of  
20 agent, but I think we probably or at least I would like to  
21 hear a tiny discussion about the issue regarding the lot of

22 vaccine with decreased immunogenicity, and has the  
23 difficulty with that been identified, so that is not  
24 something that we need to worry about subsequently or that  
25 is not really cogent to the selection choice, but it is

1 certainly cogent to the efficacy question.

2 DR. FERRIERI: Well, someone needs to address  
3 that. Dr. Levandowski, do you have someone who can speak to  
4 that point? It is a compelling point, and it can be very  
5 briefly addressed.

6 DR. COUCH: It was the single manufacturer, so  
7 they are the ones that would have to address it.

8 DR. FERRIERI: Yes, I know, but we can't force  
9 anyone to get up and speak to the point.

10 DR. LEVANDOWSKI: While we are waiting for that  
11 possibility, I don't mean this facetiously. There has been  
12 a lot of effort that has gone into evaluation of the lots of  
13 one vaccine manufacturer's vaccine that had decreased  
14 potency and what the implications of that might be, and,  
15 first of all, I should say that it does not seem to be  
16 something that would be generalized to all manufacturers or  
17 any manufacturers.

18 There was information that was developed  
19 subsequent to identifying the reduced potency, and I should  
20 emphasize that the reduced potency only occurred after the  
21 vaccine had been released and had full potency at the time

22 of release. It was something that was occurring on storage.

23       When that was identified, there were some studies

24 that were done to try to determine what the extent of that

25 might mean for immunogenicity of the vaccines, and others

1 will probably want to comment on this, but there were  
2 studies which were done by CDC, and perhaps Keiji Fukuda  
3 should comment on this, and there were studies that were  
4 done by the company themselves to look at immunogenicity,  
5 and this information has been published in Morbidity and  
6 Mortality Weekly Reports, so that everyone can look at it if  
7 they want to, but the bottom line for it was that there did  
8 seem to be some mild reduction in the potency or in the  
9 immunogenicity of the recalled vaccine for the lot that was  
10 used for the studies as compared to another comparative  
11 vaccine which had full potency.

12       The extent of that was on the moderate side. It  
13 was something that was statistically significant, but yet it  
14 was of a level that made interpretation somewhat difficult.  
15 The manufacturer also did a study comparing their recalled  
16 vaccine to non-recalled vaccine, and did not see any  
17 difference between the results in populations they looked  
18 at.

19       The two populations were different. The CDC study  
20 was done in nursing home patients. The company study was  
21 done in health care workers. So, they are not immediately



22 comparable, but I would say that I think those data are  
23 still somewhat difficult to interpret, and are not viewed as  
24 something as a cause for great alarm.

25         In fact, the recommendation that was made as a

1 result of reviewing those studies very carefully was that  
2 immunization should continue, emphasizing those people who  
3 had not previously been immunized up to this point in the  
4 year, and then those individuals who had received the  
5 recalled vaccine could consider being reimmunized, but it  
6 wasn't considered as a first urgency to do so.

7       There are others in the room here who perhaps  
8 should comment on what I have just said.

9       DR. FERRIERI: Any takers on that? If so, come  
10 forward now.

11       MR. BOSELLI: Bruce Boselli representing Parke  
12 Davis.

13       I think Roland Levandowski had summarized the  
14 situation fairly well. I think we agree with basically what  
15 he said. We are still evaluating the situation, and at this  
16 point in time, it is unclear to us that this has any  
17 necessary bearing on the decision that is going to be made  
18 today.

19       DR. FERRIERI: Thank you very much. I think that  
20 is about all we can say on this point today, if you don't  
21 mind, and we are in a position now where I think we need to

22 have a recommendation made from the advisory committee, and

23 I would entertain anything that would be in the form of a

24 recommendation motion.

25 This is regarding the components of next year's

1 influenza vaccine. It can be made in any form, making a  
2 single recommendation or it could be made in any number of  
3 variations.

4 Dr. O'Brien.

5 DR. O'BRIEN: I would just get the ball rolling by  
6 suggesting we retain the current B component.

7 DR. FERRIERI: That is a simple recommendation. I  
8 would like to have a quick vote on that. Those at the table  
9 who, according to Ms. Cherry, are not able to vote today,  
10 would be Drs. Kilbourne, Dade, and Reingold.

11 Dr. Adimora, yes or no?

12 DR. ADIMORA: Yes.

13 DR. FERRIERI: Dr. Apicella?

14 DR. APICELLA: Yes.

15 DR. O'BRIEN: Yes.

16 DR. FERRIERI: Dr. Belshe?

17 DR. BELSHE: Yes.

18 DR. FERRIERI: Glode?

19 DR. GLODE: Yes.

20 DR. FERRIERI: Eickhoff?

21 DR. EICKHOFF: Yes.

22 DR. FERRIERI: Broome?

23 DR. BROOME: Yes.

24 DR. FERRIERI: Couch?

25 DR. COUCH: Yes.

1 DR. FERRIERI: Clements?

2 DR. CLEMENTS-MANN: Yes.

3 DR. FERRIERI: Dr. Hall?

4 DR. HALL: Yes.

5 DR. FERRIERI: Edwards?

6 DR. EDWARDS: Yes.

7 DR. FERRIERI: Meier?

8 DR. MEIER: I suppose so, yes.

9 DR. FERRIERI: Thank you. For the record, my vote  
10 is yes also, so that was easy, the easiest thing we have  
11 done in a long time.

12 Now, is there any recommendation we can make on  
13 the H1N1 and H3N2 virus components?

14 DR. COUCH: I will make a recommendation on H3.

15 DR. FERRIERI: Pardon me?

16 DR. COUCH: I will make a recommendation on H3.

17 DR. FERRIERI: Please.

18 DR. COUCH: That is that I would like to defer it  
19 as much as possible. The manufacturers have suggested that  
20 we don't have much leeway there, and the general view I  
21 took, which I don't think has changed to date from the

22 information I have, is that H3, we probably would be all

23 right continuing with Nanchang.

24       If we change it, it would fall in the category of

25 what I would call fine-tuning, but we would like to fine-

1 tune the H3N2 viruses, but a part of that is that this will  
2 have to be I think the next decision in line would be the  
3 H3, and that may be forced by a strain we have and the  
4 reagents we have when the manufacturer has got to have  
5 another strain to come on line, and I think it is much more  
6 important to have H3 vaccine in adequate quantities on time  
7 than it is to fine-tune it.

8 So, it is a recommendation for as deferral as much  
9 as possible, but not being unhappy with having to go with  
10 the current strain if that becomes necessary.

11 DR. FERRIERI: Is this a consensus of the advisory  
12 committee members? Could I have a show of hands, those in  
13 support of deferring on H3N2 for everyone who is eligible to  
14 vote? Put your hand up, please, so that I can get a head  
15 count here.

16 [Show of hands.]

17 DR. FERRIERI: It is unanimous to support Dr.  
18 Couch's recommendation that we defer a decision on influenza  
19 A(H3N2) choice. All right.

20 Let us move on to A(H1N1).

21 Yes, Dr. Eickhoff.



22 DR. EICKHOFF: I will recommend that we defer

23 acting on the H1N1 component until such time as further

24 information from Switzerland is available and interpretable.

25 DR. FERRIERI: Any opinions on this? Who would be

1 in agreement with deferring, then? A show of hands, please,  
2 those eligible to vote now.

3 [Show of hands.]

4 DR. FERRIERI: The recommendation is unanimous to  
5 defer on A(H1N1).

6 DR. COUCH: I don't know if the pecking order  
7 might be worth considering, but my own view would be that H1  
8 is the one that should, if we have to choose between, would  
9 delay the H1 is the one with more consideration, and if we  
10 are forced to go ahead, I would go ahead with H3 first.

11 DR. FERRIERI: I agree completely. Are there any  
12 dissenting opinions on that, that the hierarchy then would  
13 be to move with the H3N2, and then the problematic decision  
14 based on information coming in on the H1N1? Dr. Levandowski  
15 and others here from FDA, CDC, would you be comfortable with  
16 these decisions for today?

17 DR. LEVANDOWSKI: I think we would be able to live  
18 with your decisions today, yes.

19 DR. FERRIERI: I am sorry we can't make everyone  
20 happy today. I regret that.

21 DR. COUCH: I would assume we made Dr. Levandowski

22 happy with that B decision.

23 DR. FERRIERI: Yes, but this is the best we could

24 do today, I am afraid. I think that the data you provided

25 were wonderful and in no way should our decision on deferral

1 reflect on any lack of credibility or any doubts about the  
2 rapidity with which you produced data for today's meeting.  
3 I think that all of you who presented did a marvelous job,  
4 and we appreciate the pressure you were under to do this.

5 We have to move on to other issues now, and we  
6 have a lab report that we will move to approximately nine  
7 minutes ahead of schedule. We will keep to it. This is an  
8 open session, and it is an overview of the Laboratory of  
9 Mycobacteria.

10 Session 2 - Lab Report

11 Overview of Laboratory of Mycobacteria

12 DR. FERRIERI: We are ready to start the open  
13 session, the Overview of the Laboratory of Mycobacteria by  
14 Dr. Michael Brennan from FDA. Mike

15 DR. BRENNAN: Thanks, Dr. Ferrieri.

16 In this ideal time slot here, I will try to be  
17 very brief. I am representing the Laboratory of  
18 Mycobacteria, which is in the Division of Bacterial  
19 Products, which is headed by Dr. Anthony, who is here in the  
20 audience, and we are in the Office of Vaccines Research and  
21 Review.

22           I would like to just summarize the organizational  
23 structure of our laboratory and briefly also summarize the  
24 research programs. I believe most of you have received a  
25 packet, sort of a large tome, that describes in detail the

1 research program. I will just summarize in generalities the  
2 major focuses of the research programs.

3 Dr. Apicella chaired an expert scientific  
4 committee, which also included Dr. Barry Bloom, Dr. Thomas  
5 Shinnick, Dr. Thomas Gillis, Dr. Larry Schlesinger, Dr.  
6 Josephine Clark-Curtiss, and Dr. Russell Carlson.

7 They visited us November 6th last year. We liked  
8 them, we think they liked us, and we had a very good time.

9 [Slide.]

10 The first slide here, this summarizes the  
11 scientific staff that has been in the Laboratory of  
12 Mycobacteria since the last lab review, which was in April  
13 of 1992.

14 Above the line is the current scientific staff in  
15 the laboratory, and I will talk about two additions that  
16 have recently occurred since last October. Dr. Sheldon  
17 Morris and Dr. David Rouse are the only two which have been  
18 here the whole time. They are in the Molecular Microbiology  
19 group, which I will summarize.

20 I came over in October of 1992 from the Laboratory  
21 of Pertussis and took over as the chief of this laboratory.

22 Dr. Zhongming Li, who is a Visiting Scientist, transferred  
23 in with me, as did Julie Rouse, who is a research biologist.  
24 Julie moved into Dr. Anthony's office late last year, and is  
25 no longer with us.

1 Dr. Frank Collins joined us from the Trudeau  
2 Institute as a distinguished visiting scientist, and he is  
3 here in the audience today.

4 Dr. Joe DeVito is an NRC fellow who works with us.  
5 Dr. Mohammed Alave, a staff fellow, and Cynthia Kelley is a  
6 research biologist working with Frank.

7 [Slide.]

8 Just briefly, the regulatory responsibilities of  
9 the laboratory include reviewing submissions that are  
10 related to vaccines for the prevention of tuberculosis, and  
11 we are in charge of the currently licensed BCG vaccine, and  
12 we are beginning to receive a number of submissions on the  
13 newer innovative TB vaccines based on subunit antigens on  
14 DNA vaccines, recombinant BCG products where the BCG is used  
15 as the recombinant vector for genes from heterologous  
16 organisms like *Borrelia*.

17 One of the largest uses of BCG live is as a  
18 treatment for bladder cancer, as most of you know, and we  
19 also have submissions for alternative therapies like  
20 superficial bladder cancer and interstitial cystitis.

21 We are responsible for review of the skin test



22 reagents like the tuberculins, histoplasmin, coccidioidin,  
23 and we do other bacterial products like Lyme disease, and we  
24 are responsible for the lot release of all of these vaccines  
25 and skin test products.

1        So we try to keep our research as best we can  
2 related to these regulatory responsibilities that the  
3 laboratory has.

4        [Slide.]

5        The laboratory is divided into three major groups.  
6 The Laboratory of Molecular Bacteriology is headed by Dr.  
7 Sheldon Morris with David Rouse and Dr. Zhongming Li in this  
8 group.

9        [Slide.]

10       This just summarizes the major focus of the  
11 research activities in their laboratory, which include a  
12 number of exemplary studies they have done looking at trying  
13 to define the genes that are involved in the molecular  
14 mechanisms of drug resistance. They have done work on  
15 defining the gene changes for the streptomycin drug  
16 resistance for Rifampicin, and mostly for the isoniazid with  
17 their work on the relevance of the catalase gene and also on  
18 the new INHA gene.

19       Secondly, their program has looked at the  
20 identification and characterization of mycobacterial  
21 antigens, and this is mostly from their previous work on the

- 22 *M. avium* organism. Currently, they are focusing on this
- 23 bottom section here, which is more related to vaccine
- 24 development and on the identification of new virulence
- 25 factors using molecular techniques.

1        They have recently identified a trip gene, which  
2 they are using to make an aromatic amino acid auxotrophin  
3 mycobacteria, and the most exciting new development is the  
4 work they are doing now on DNA vaccines. I would just like  
5 to point out that what we try and do in the laboratory --  
6 and I hope we made this point to the Review Committee -- is  
7 to try to work together among the three groups.

8        For instance, DNA vaccines is a good example where  
9 Sheldon is using his molecular expertise to make the DNA  
10 constructs from genes, for instance, such as the one that I  
11 identified from the putative mycobacterial adhesion, and  
12 then working with Frank Collins and his immunological models  
13 to look at the protective efficacy of these DNA vaccines.

14        So, in a nutshell, that is the program, and the  
15 details are provided in the packet.

16        [Slide.]

17        The second is the Laboratory of Immunopathology  
18 headed by Dr. Frank Collins with Cynthia Kelley.

19        [Slide.]

20        As most of you know, Frank was responsible for  
21 developing the aerosol mouse model for tuberculosis, and he

22 is using that model to focus on these research objectives,  
23 which include looking at host immune responses, in this case  
24 the mouse, to new virulent and attenuated mycobacteria, and  
25 also into auxotrophs.

1       He is collaborating here with Bill Jacobs of  
2   Albert Einstein to look at new, innovative, novel  
3   auxotrophic vaccines made from *M. tuberculosis* strains and  
4   also looking at unique recombinant mycobacteria where  
5   virulent genes have been put into avirulent strains, and he  
6   can compare the protective and immunological response in his  
7   mouse model for these.

8       He is also using similar techniques to try to  
9   define virulence-related genes in vivo in the mouse, and,  
10   lastly, working in an in vitro macrophage system to see what  
11   the bacterial host cell reactions are inside the macrophage.

12       If there are any specific questions, Frank is  
13   sitting in the audience.

14       [Slide.]

15       Thirdly, my Laboratory of Mycobacterial  
16   Pathogenesis with Dr. Joseph DeVito and Mohammed Alave.

17       [Slide.]

18       We mainly focus on trying to identify adhesions  
19   that are mediating the interactions between the  
20   microbacteria and host cells. So, we are trying to identify  
21   and characterize new surface cell antigens on the

22 mycobacteria that mediate host cell interactions.

23       We have found one which we published on last fall,

24 which is a hemagglutinin, and we are focusing a lot of

25 effort on the biochemical, molecular, and immunological

1 characterization of that adhesion.

2       We have two other projects, one headed by Joe  
3 DeVito, which is on cell division, cell division which is  
4 related to the important question of latency in  
5 mycobacteria, the question that we hope will be addressed in  
6 future clinical trials on reinfection versus reactivation.

7       Lastly, we have a collaborative project with Dr.  
8 Mann at the Red Cross. I am looking at a novel defensin-  
9 like antimicrobial peptide that is derived from lactoferrin  
10 and measuring its bacteriocidal effects on mycobacteria as a  
11 potential therapeutic especially in the area of drug-  
12 resistant mycobacteria.

13       [Slide.]

14       We have a new addition to the laboratory, who is  
15 Dr. Karen Elkins, who is kindly running the slide projector  
16 back there, and her research biologist, Tonya Rhinehart-  
17 Jones, and Karen was previously in Dr. Anthony's Laboratory  
18 of Enterics and Sexually Transmitted Diseases, but the work  
19 she did is very relevant, and we are very glad to have her.  
20 We hope that it will integrate very easily into the  
21 mycobacteria projects, because she worked on the



22 intercellular pathogen *Francisella tularensis*.

23 [Slide.]

24 This shows the major objectives of her work, which

25 has been mostly immunological, so she will be part of the

1 immunology group.

2       These are her major aims, which has been to  
3 determine the basis for survival of her Francisella strain  
4 and that she uses in her mouse model work, and she, as you  
5 have noted in the packet, has found some novel immunological  
6 responses especially early as of yet undefined immunological  
7 responses that are protective to the infection with  
8 Francisella, and also lastly, to determine the bacterial  
9 antigens responsible for the generation of this protection,  
10 and she hopes to move some of the similar techniques she has  
11 used with Francisella into the mycobacteria arena.

12       That is it. I know it has been brief. I am sure  
13 you want to get to lunch, but if you have any specific  
14 questions, I believe Dr. Apicella is going to lead a closed  
15 session discussion of his findings after lunch on this, but  
16 Dr. Collins and Dr. Elkins, and myself are here if you would  
17 like to ask any specific questions.

18       Thanks.

19       DR. FERRIERI: Thank you, Dr. Brennan.

20       Committee members, this is a good chance if you  
21 have any questions for any members in this division.

22           Yes, Dr. Edwards.

23           DR. EDWARDS: I thought this was a wonderful

24 document. I really enjoyed reading it, and also how a

25 pertussologist becomes a TB-ologist, and I thought that was

1 very exciting.

2 I wanted you to perhaps comment a little bit on  
3 obviously, these model systems that you have established or  
4 that Dr. Collins has established are very important in terms  
5 of helping to evaluate these complicated products, and maybe  
6 he or you could just outline sort of does industry work with  
7 you on these, or do manufacturing companies all have their  
8 own types of these model systems, or sort of how does this  
9 work practically in terms of making things available quickly  
10 to study these important vaccines?

11 DR. BRENNAN: Perhaps Frank can comment also, but  
12 I will just start by saying that we hope this kind of works  
13 like it did like you mentioned pertussis, because I think we  
14 have a nice track record with what happened with pertussis.

15 Some of the antigens that we worked on, like  
16 pertactin, for instance, and the hybridomas that we  
17 developed, for instance, I think were a very nice tool,  
18 those monoclonal antibodies that have been used by most of  
19 the institutes and manufacturers in helping to develop the  
20 pertussis vaccine.

21 For instance, we have now a couple of monoclonals

22 against this new hemagglutinin, and we have Frank's model.

23 I think one of the things -- and he will probably address

24 this in a second here -- is that we hope to really work at

25 this correlates question, which is on everybody's mind, and

1 that helps us so much when we get into the future clinical  
2 trials that I believe we will soon, in the next couple of  
3 years, on TB.

4 So, we hope to use Frank's model and his expertise  
5 in the immunological responses to develop some correlates,  
6 so that at FDA, we can use that for our potency assays, and  
7 much easier as we have with pertussis.

8 Frank?

9 DR. COLLINS: Yes. We have had or I have had a  
10 number of people approach me as to the use of the aerosol  
11 challenge method. The beauty of this is that it is  
12 essentially as parallel as you can get to the human  
13 infection as you can reasonably get.

14 The down side is that it is a P3 pathogen  
15 mycobacteria in TB, and so this has to be done under very  
16 carefully restricted conditions, and particularly when you  
17 are using an aerosol, so that a number of people have been  
18 very concerned as to where they can get their vaccines  
19 tested, and, in fact, of course the NIH has at least two  
20 labs that are primarily concerned with this.

21 I have been asked on several occasions to give

22 seminars with regard to the model itself, so that, in fact,  
23 I can see what is involved, but we have not been testing  
24 other people's vaccines. We have got enough problems and  
25 enough vaccines of our own to do so.

1       It is clear that there are only a few P3-  
2 restricted labs which have the necessary aerosol machine  
3 which can safely infect with M. t.b., so this is in a way a  
4 bottleneck which is concerning quite a lot of people, and  
5 naturally we are concerned, too.

6       DR. BRENNAN: I will also point out, Kathy, that  
7 we are also working closely with NIAID and the WHO, who has  
8 a working group including Dr. Ormen McMurray and the guinea  
9 pig model to look at these new candidate vaccines.

10       DR. COLLINS: I might just add that we are not  
11 discouraging people from doing collaborative studies. We  
12 have on the NIH campus several groups who, in fact, we have  
13 been collaborating with.

14       DR. EDWARDS: I guess one other thing that is  
15 distressing is that when the rate of TB stopped going up, it  
16 seems that the amount of money that the government was  
17 interested in paying for this most important infectious  
18 disease also stopped going up, and I guess that is reflected  
19 in some of your budgetary figures, as well.

20       DR. COLLINS: Yes.

21       DR. BRENNAN: But it is interesting that we sit on



22 an advisory committee at the CDC, and which has in the past  
23 been mostly interested in drug treatment, and lately they  
24 have been turning more to an interest in vaccine as has the  
25 WHO. I think that is good news.

1 DR. COLLINS: There is one other point, that  
2 although the rate of tuberculosis in the United States has  
3 flattened off, and presumably, hopefully, will turn down  
4 again, the incidence of tuberculosis worldwide is increasing  
5 steadily, and there is no question that until they find some  
6 way of controlling TB worldwide, it will be impossible to  
7 eliminate TB in this country, simply because of the number  
8 of people that come into the country who are already  
9 infected, and you have got to control that source before you  
10 go any further.

11 DR. FERRIERI: Thank you, Dr. Collins.

12 Dr. Anthony.

13 DR. ANTHONY: Dr. Collins showed a lot of  
14 forbearance. He didn't complain about how long it took him  
15 to get his P3 facility after he joined us, but as Frank  
16 said, he does collaborate and will continue to collaborate,  
17 not only with our colleagues on the NIH campus, but with the  
18 people in academia and industry to the extent that we can.

19 Incidentally, this lab has been around a long time,  
20 and for many years I believe was the only mycobacterial  
21 research facility on the NIH campus. So, it has a long

22 history.

23       While I am on my feet, I would like also to

24 comment that Dr. Brennan and his colleagues, I think

25 represent the model of one of the prime credos of the Center

1 for Biologics, and that is the researcher/reviewer model.  
2 Research at CBER has always been under siege because that is  
3 not our primary mission, and it has been threatened as long  
4 as I have been there, and it is threatened once again as the  
5 Prescription Drug User Fee Act is renegotiated.

6 The industry negotiators intend to specify that  
7 those dollars that come into the Agency, even though they  
8 have accomplished every single goal of the Act, which was  
9 passed in 1992, they now wish to specify that those dollars  
10 cannot be used for research, and it has sent a chill through  
11 our organization. We hope we will survive as a research  
12 organization. That is why I went there, because that is one  
13 of the things that makes it so much fun to be there.

14 But I simply wanted our friends, you, the advisory  
15 committee, to know that we have some problems right now.

16 Thank you.

17 DR. FERRIERI: Any other points from the  
18 committee?

19 Otherwise, we will adjourn under the following  
20 proviso. The audience, guests, and consultants can return  
21 at 2:30, but the current members of the advisory committee

22 must be back at 1:30, so we will go into closed session, and

23 we will be hearing the formal report from Dr. Apicella.

24 [Whereupon, at 12:30 p.m., the proceedings were

25 recessed, to be resumed at 1:30 p.m. in closed session.]

1 AFTERNOON SESSION

2 [2:32 p.m.]

3 DR. FERRIERI: We are privileged to have Dr.  
4 Novak, who will be presenting an update on the Oncologic  
5 Drugs Advisory Committee, or ODAC, she will be spending  
6 about 15 minutes briefing us on things.

7 Dr. Novak.

8 Update on ODAC Meeting

9 DR. NOVAK: Good afternoon. Again, my name is  
10 Jeanne Novak and I am from the Division of Vaccines  
11 Applications.

12 [Slide.]

13 The reason I am here today to update you on  
14 proceedings at the Oncologic Drug Advisory Committee was  
15 because at the December 16th meeting, the TICE BCG product  
16 was taken to the advisory committee in order to discuss  
17 requests for new indication, and that was for intravesical  
18 use for superficial transitional cell carcinoma of the  
19 bladder.

20 [Slide.]

21 I should say at this point there were actually

22 five questions posed to the committee, and I will discuss  
23 those towards the end of this briefing just to give you an  
24 idea of what was discussed and the outcome of the meeting,  
25 but of the five questions, one was mainly concerned with

1 infectious complications as a result of the use of  
2 intravesical BCG treatment. In order to address those  
3 issues, we did ask that we have additional members join the  
4 ODAC session.

5 We had two urologists from the Center for Devices  
6 Advisory Committee for Gastroenterology and Urology Devices  
7 Advisory Committee. We also invited three infectious  
8 disease specialists from academia.

9 [Slide.]

10 The product was TICE BCG (BCG Vaccine), and it is  
11 produced by the Organon-Teknika Corporation. I know this  
12 committee is familiar with that product. It has been  
13 licensed since 1950 as a vaccine, and was approved for  
14 carcinoma in situ in August of 1990.

15 The standard form presentation is an ampule  
16 presentation of lyophilized organism at approximately  
17 between 1 and  $8 \times 10$   
18 8 colony-forming units per ampule, and  
19 it has an equivalence to about 50 mg of wet weight. I refer  
20 to that when I summarize this study for you.

21 [Slide.]

22 Just as an overview, with regards to the incidence



22 of disease, it has been estimated there is about 53,000  
23 cases of bladder cancer in the United States per year.  
24 Ninety percent of those are transitional cell carcinoma, and  
25 if one looks at the incidence of transitional cell

1 carcinoma, about 32 cases per 100,000 as opposed to 3 cases  
2 of carcinoma in situ per 100,00.

3 [Slide.]

4 Again, just as overview, I would like to just  
5 mention a couple comments about tumor stage and grade. Now,  
6 moving on to a description of transitional cell carcinoma,  
7 and there has been discussion in the literature and  
8 summaries in the literature about the relationship of the  
9 stage and grade of tumor to recurrence and progression, and  
10 this certainly did bear on the primary endpoint of the study  
11 that I will just briefly overview and the discussions at  
12 ODAC.

13 For summary, recurrence is more likely to occur if  
14 multiple tumors are present at diagnosis versus the  
15 presentation of a single tumor. Percentages are estimated  
16 at 91 versus 51 percent.

17 Progression is more likely if tumor occurs at a  
18 more advanced stage, and that is, if you present with T1  
19 rather than a Ta tumor, progression is 29 versus 9 percent.

20 In addition, progression is more likely if the  
21 grade of the tumor is at a higher grade, so, in other words,

22 Grade 3 versus Grade 1, 38 percent versus 7 percent.

23 Finally, mortality is more likely again is the

24 grade of the tumor is higher, G3 versus G1, for example, 21

25 percent versus 6 percent.

1        This is the result again of a survey of a number  
2 of studies throughout the literature.

3        [Slide.]

4        The file was presented to the Oncologic Drug  
5 Advisory Committee, and the primary clinical reviewer on the  
6 file was Dr. Richard Stefan from the Office of Therapeutics.  
7 What he presented to the committee was what the company had  
8 presented to us, and basically, there were two clinical  
9 studies, two controlled studies that were submitted to the  
10 file: a study done in Europe, the Nijmegen study, which was  
11 a 3-arm study, comparing two BCG products to Mitomycin C,  
12 and a study done by the Southwest Oncology Group, which was  
13 a 2-arm study, comparing this TICE BCG to Mitomycin C.

14        Before getting to all of the conclusions, it was  
15 the conclusion of both the FDA and the Oncologic Drug  
16 Advisory Committee that the Nijmegen study did not support  
17 the use of BCG for this indication, and for a number of  
18 reasons that I won't get into in great detail here, a lot of  
19 that had to do with differences in the schedule, for  
20 example, in the Nijmegen study, the course of treatment was  
21 induction but not maintenance, and there were other

22 differences that were discussed at the committee.

23 Suffice as to say, the committee moved on,

24 however, to listen to discussions about the SWOG study,

25 which ultimately they did decide were supportive of efficacy

1 of this product for this indication. Again, I will detail

2 some of the points of discussion in a moment.

3 [Slide.]

4 Again, as a quick overview, the SWOG study was a  
5 randomized intergroup comparison of BCG and Mitomycin C in  
6 superficial transitional cell carcinoma of the bladder. The  
7 study enrolled 469 patients who had been completely resected  
8 after Ta or T1 transitional cell carcinoma, and these were  
9 patients who were enrolled who were considered to be at high  
10 risk for recurrence.

11 The BCG used again was a formulation that I have  
12 already described, and it has been licensed previously for  
13 carcinoma in situ, which is 50 mg, and it was given at one  
14 to two weeks after resection, and then weekly for six weeks,  
15 at eight weeks, 12 weeks, and every month for a year. So,  
16 we have an induction course and a maintenance course.

17 Mitomycin C was given at 20 mg/20 cc or 1 mg/ml on  
18 the same schedule as BCG. The primary endpoint of this  
19 study was the time to recurrence or death.

20 [Slide.]

21 Again, I will just briefly summarize the final

22 results, but let me say that during the course of the study,  
23 an interim analysis was performed, and at the time of the  
24 interim analysis, it was actually determined that BCG had  
25 demonstrated superiority over Mitomycin C, and so when the

1 interim analysis was done, in fact, when one looked at the  
2 percentage of recurrence or death, at the interim analysis,  
3 it was 46 percent, and Mitomycin C was 57 percent, and the  
4 median time to recurrence has been estimated from the  
5 interim analysis to be 44 months for BCG and only 22 months  
6 for Mitomycin C.

7       Upon receipt of all of the results and final  
8 analysis, again, you see the numbers, 41 percent for  
9 recurrence for BCG, 52 percent for Mitomycin C, but the most  
10 important, median time to recurrence or progression, you can  
11 see median time is 22 months, but it was not reached for  
12 BCG.

13       [Slide.]

14       Briefly, just moving on to safety, although the  
15 Nijmegen study didn't support efficacy for the use of this  
16 product, it did provide additional data regarding the safety  
17 of the product, and the safety profile for adverse events in  
18 that study was very similar to what was observed in the SWOG  
19 study, but I will just be reviewing briefly the SWOG Study  
20 data.

21       Of the 442 patients who were evaluated in this



22 study for safety, you can see there are differences in the  
23 safety profile: dysuria at 52 percent versus 36; fever,  
24 higher at 17 percent versus 3.6; and malaise, 25 percent  
25 versus 14 percent.

1       When one looks at withdrawals, there is no  
2 significant difference in withdrawals based on toxicity  
3 between the two groups, but again, you can see there is a  
4 difference in the safety profile for BCG over Mitomycin C.

5       It should be noted two individuals did require  
6 orchiectomy due to BCG testicular infection, and 32 patients  
7 during the course of the study required anti-tuberculosis  
8 therapy, and in this case it was INH.

9       So that briefly summarizes the efficacy and safety  
10 that was presented to the Oncologic Drug Advisory Committee.

11       [Slide.]

12       We had additional concerns again about infectious  
13 complications, so Dr. Stefan went to our Spontaneous  
14 Reporting System at FDA and found approximately 500 cases of  
15 reports related to BCG infection, 77 which were serious or  
16 life-threatening, 71 included hospitalizations, 6 deaths, 2  
17 long-term disabilities, and then, of infectious  
18 complications were also 21 distant BCG infections and 16  
19 cases of BCG sepsis.

20       I should qualify this, that oftentimes this  
21 reporting didn't have a tremendous amount of detail, but we

22 just wanted to present some of these numbers to that  
23 committee, so that again they could get a feel for what was  
24 being reported through this system.  
25       Interestingly enough, there have been reports of

1 secondary contact infections with BCG, for example, 3  
2 infections in health care workers, 2 of those who had PPD  
3 conversions and no other symptoms, 1 of whom had a  
4 cellulitis at the site. Apparently, this was a needlestick  
5 during preparation. There have also been reports of  
6 infections in other patients, and I am sure you have  
7 probably read the report of 2 pediatric leukemia patients  
8 who contracted BCG meningitis. They were at a facility and  
9 were being treated with intrathecal methotrexate, and BCG  
10 had been prepared in the same hood as the methotrexate, and  
11 resulted in cross-contamination and infection of these  
12 patients.

13 [Slide.]

14 The sponsor also took it upon themselves to review  
15 some of the infectious disease complications, and, in fact,  
16 since their time of licensure for the indication for  
17 carcinoma in situ, they had a sponsor spontaneous reporting  
18 database, and to date, they have had approximately 1,200  
19 adverse events in 738 patients, and that is of about 100,000  
20 patients who have been treated.

21 Again, they see a number of serious and expected

22 AEs, 123 out of all those reported, also reports of death,  
23 and they have had, interestingly enough, 144 health care  
24 workers exposures actually reported, although I want to  
25 mention only a handful of these actually resulted in either

1 PPD conversion or cellulitis at the site. Interestingly  
2 enough, people who were using the product were aware that in  
3 the event of a needlestick, they should contact the company,  
4 and so on subsequent followup, even though these were not  
5 serious incidents, it was interesting that they were able to  
6 capture those data.

7 [Slide.]

8 I would like to just summarize by telling you what  
9 questions we posed to the advisory committee, and what their  
10 conclusions were at this point.

11 The questions posed were actually quite extensive,  
12 and what I have done here is just abbreviated the questions  
13 to what we think were the essential points. As I have  
14 already alluded to, the Nijmegen did not support the  
15 indication, and that was agreed upon by the advisory  
16 committee.

17 The advisory committee did feel, however, that the  
18 SWOG study did support this additional indication for  
19 transitional cell carcinoma.

20 The third question we had posed to the committee  
21 was really a discussion, a question about the acceptable

22 toxicity of BCG, and the committee opted to address this  
23 issue in the context of a risk-benefit sort of discussion  
24 when one talks about the stage and grade of tumor that one  
25 is treating.

1 Just in summary, let me move on then to No. 4: Do  
2 the data support safety and efficacy for stage Ta/T1  
3 transitional cell carcinoma, and if the answer is yes, would  
4 there be recommendations for a particular subset?

5 The committee again agreed that safety and  
6 efficacy did support treatment of Ta/T1 tumors, however, it  
7 is difficult to do a subset analysis, and as a matter of  
8 fact, the sponsor could not do a subset analysis of the SWOG  
9 study, so there were no recommendations for subset  
10 treatment, however, there was a discussion amongst the  
11 committee that for Ta/G1, or Ta/Grade 1 tumors, upon initial  
12 presentation without recurrence, those would be tumors that  
13 people would not likely want to treat with this therapy.  
14 Rather, the recommendations of the committee were to include  
15 treatment for Ta/T1 tumors of all grades had they recurred.

16 So, multiple recurrences or presentation of  
17 multiple tumors would be included in that group regardless  
18 of stage or grade.

19 [Slide.]

20 Again, the last question posed to the committee  
21 dealt with actually opening discussion regarding how does



22 one deal with the infectious disease or the infectious

23 complications, and how should that be dealt with in the

24 labeling.

25 Just briefly, we asked the committee to comment on

1 whether or not labeling should specify, for example, the  
2 duration of symptoms beyond which BCG infection should be  
3 suspected. In other words, should the labeling actually  
4 indicate that if flu-like symptoms and fever are continuing  
5 beyond 48 hours, what kind of recommendations and referrals  
6 should be made.

7 I should mention at this point that treatment with  
8 BCG has been associated with systemic symptoms within the  
9 first 48 hours, so the discussion revolved around balancing  
10 between allowing those initial symptoms to resolve versus  
11 the chance of missing a serious infection.

12 The committee's recommendations were again to keep  
13 language in the labeling regarding if these symptoms proceed  
14 beyond 48 hours, to consider treatment for systemic  
15 infection.

16 The committee also discussed how patients should  
17 be evaluated, and there was some discussion about new  
18 culture techniques although this was not something that they  
19 felt strongly about including in the labeling.

20 Finally, there were some recommendations about  
21 triple antibiotic therapy, and I will talk about that in

22 just a moment.

23       Secondarily, should the label dissuade the use of

24 prophylactic INH? The reason this was of concern was in the

25 SWOG study, prophylactic INH, a course of four days, a short

1 course, had been used if certain Grade 2 or Grade 3  
2 toxicities had been reported, and even though it is  
3 currently not in the label, there is no indication now that  
4 recommends prophylactic use of INH.

5       The problem is that this has become relatively  
6 common practice in the treatment of carcinoma in situ, as  
7 well as superficial bladder cancer. So, the committee felt  
8 very strong that, in fact, a short course, since there is no  
9 evidence of benefit, felt strongly about not including any  
10 mention about a short course INH, and, in fact, wanted to  
11 dissuade the use, because the balance again is whether or  
12 not one wants to subject a patient to any course of  
13 antibiotic if you are not convinced that there is infection  
14 that would require such treatment.

15       Finally, we asked the committee to provide us with  
16 additional recommendations and if there should be additional  
17 recommendations in the labeling to minimize the risk of  
18 nosocomial infections.

19       At this point, the sponsor provided us with  
20 additional information. They actually have a closed  
21 reconstitution and installation device that they are using

22 with their product, and that they provide to caregivers at  
23 no charge in order to minimize any cross-contamination.  
24 However, it was not in the labeling, and it was recommended  
25 by the committee that this device be mentioned and

1 encouraged, encourage the use of this device by  
2 practitioners.

3       There was additional discussion about just general  
4 safety and handling issues, but for the most part, I think  
5 it was encouraged to use this closed system.

6       [Slide.]

7       In summary, again, the recommendation of the  
8 committee was for approval of TICE BCG for treatment of  
9 recurrent superficial transitional cell carcinoma, Ta/T1.

10       They encouraged more detail in the label regarding  
11 treatment for BCG infection and additional comments  
12 regarding the handling of the product.

13       Finally, the sponsor agreed very adamantly at the  
14 meeting to work with FDA to address these infectious disease  
15 complications in the label to ensure that information is  
16 provided, and to include information even with regards to  
17 triple antibiotic therapy should it be warranted.

18       Thank you.

19       DR. FERRIERI: Thank you very much, Dr. Novak.

20       DR. BELSHE: Would you clarify how this drug is  
21 given or how the BCG is administered?

22 DR. NOVAK: It is administered intravesically. It  
23 could be either administered from an i.v. bag through a  
24 catheter is the most common method, but it is again  
25 delivered directly to the bladder.

1 DR. BELSHE: It in instilled into the bladder.

2 DR. NOVAK: Yes, it is, for two hours.

3 DR. BELSHE: And there is a catheter presumably,  
4 and it is clamped off for two hours?

5 DR. NOVAK: Correct.

6 DR. BELSHE: It is not injected into the bladder  
7 wall or anything like that?

8 DR. NOVAK: That is right.

9 DR. FERRIERI: Other questions from the committee  
10 for Dr. Novak? Dr. Edwards.

11 DR. EDWARDS: Was there a discussion about  
12 characterizing these mycobacterial strains that were  
13 isolated? Sometimes it is not so easy to know that it is a  
14 BCG strain, and, for instance, you may -- indeed, we had a  
15 child recently who had HIV and had disseminated BCG as a  
16 function of that, and with the first go-around, I think you  
17 sort of work up, well, is it MAI or is it TB, and then you  
18 say, well, it is not MAI, and then you say it is TB, and  
19 that is sort of what happens, because there is not a  
20 specific speciation.

21 Was there any suggestion that the isolates that



22 were obtained from these patients be further characterized

23 to make sure they weren't mycobacterium tuberculosis or they

24 were not members of the mycobacterium tuberculosis family?

25 DR. NOVAK: That is a good point, but, no,

1 actually there was no discussion in that regard.

2 DR. FERRIERI: I am surprised that no attempt was  
3 made of any genotyping or other characterization.

4 DR. NOVAK: I think, again, the discussions  
5 revolved around -- we were talking about patients who are  
6 getting multiple installations of this product, and the  
7 major concern is what is happening. Once you get beyond  
8 these initial symptoms in the first 48 hours, the real  
9 concern is then the acute treatment of what could be  
10 potentially a very severe bacterial infection.

11 The only discussion regarding again anything that  
12 would suggest trying to determine the organism was  
13 discussion of culture methods. I think that was more the  
14 emphasis. People were more concerned, well, can you even  
15 confirm that this is an infection rather than some sort of  
16 response to the treatment itself that is not of an  
17 infectious etiology.

18 That has been one of the confounding factors even  
19 in the first again 48 to 72 hours after treatment. So, that  
20 is the extent of the discussion in that regard.

21 DR. FERRIERI: But in the patients with the

22 secondary contacts, I thought that the two leukemics who  
23 developed BCG meningitis had characterization of those  
24 strains.

25 DR. NOVAK: Yes, I am sure they did, and offhand I

1 can't tell you what they were. I am not sure if anyone else  
2 can. But my recollection was they confirmed, in fact, that  
3 it was the organism that had been prepared in the hood.

4 DR. FERRIERI: Exactly. Other questions?

5 Well, if not, thanks so much, Dr. Novak.

6 We won't have a formal break. I think that people  
7 can get up and have cookies or something to drink, and do  
8 this while we are moving ahead.

9 I saw Dr. Lewis come into the room. Dr. Lewis,  
10 would you mind starting now? Dr. Andrew Lewis, who was one  
11 of the organizers of the Simian Virus-40 Workshop that was  
12 held Monday and Tuesday at NIH at the Natcher Building, is  
13 going to give us an overview of it. I had the privilege of  
14 attending this workshop and enjoyed it tremendously and  
15 wanted to extend to you, Dr. Lewis, my congratulations on  
16 your very successful outcome. I thought it was a very  
17 exciting workshop in many ways.

18 Update on SV40 Workshop

19 DR. LEWIS: Thank you very much.

20 Necessarily, my remarks have been prepared in  
21 somewhat of a hurry, so I apologize for any omissions or

22 commissions that may occur.

23 [Slide.]

24 The handout or the agenda of the workshop is

25 listed here. The workshop was entitled SV40 as a possible

1 human polyoma virus, and was sponsored by five different  
2 agencies: the CBER, the NCI, the NICHD, two organizations  
3 from the CDC in the National Vaccine Program Office.

4       The impetus for this workshop came during the  
5 summer when a series of papers began to appear in the  
6 literature as a followup to work that was done in 1992 by  
7 Bersagel, Janet Butell, and Bob Garcia, in which they had  
8 detected SV40 DNA sequences in a series of choroid plexus  
9 tumors from young children who were born in the 1980's.

10       These studies were reasonably convincing or let me  
11 put it this way, these studies presented perhaps the first  
12 really convincing evidence that SV40 DNA sequences were  
13 present in human tumors.

14       Since the discovery of SV40 in 1960, and  
15 especially during the seventies, there have been a series of  
16 isolations of SV40 from human tissues beginning with a paper  
17 in I believe 1974, which SV40 was isolated from a human  
18 melanoma.

19       There were followed-up isolates of SV40 from I  
20 believe one or two cases of progressive multifocal  
21 leukoencephalopathy and the isolation of SV40 from several

22 other human tumors.

23       These isolations in reports were looked upon with

24 some skepticism because of the stability of SV40 as an agent

25 in the laboratory, and necessarily, people that are working

1 with them need to grow the virus, and this virus is

2 remarkably stable.

3         So, I think that that data was out there, it was

4 reviewed by Dr. Geissler in 1990, and retrospectively, it

5 was quite interesting, but it didn't generate any serious

6 interest until the Bersagel paper in 1992.

7         Subsequent to that paper, a paper appeared in 1994

8 by Michael Carbone and others from the National Institute of

9 Child Health, in which SV40 DNA sequences were detected in

10 about 40 to 60 percent of human mesotheliomas.

11         This was a startling observation because the

12 mesothelioma of course is a tumor that is associated with

13 exposures to asbestos, and the presence of SV40 DNA

14 sequences there was quite unexpected.

15         It was prompted, however, by the ability of SV40

16 to induce mesotheliomas in Syrian hamsters.

17         Then, the most recent paper appeared on human

18 tumors, new human tumors, appeared in July of this year, in

19 which the Carbone group repeated their work on osteosarcomas

20 and reported about the same incidence of SV40 in those

21 tumors.



22           These particular studies began to catch everyone's  
23 attention and articles began to appear in the media  
24 beginning with an article in The New Scientist in September  
25 followed by articles in Money magazine and The New Yorker.

1 I think irrespective of the attention that the  
2 information was receiving in the lay press, the scientific  
3 questions that were generated by these papers prompted a  
4 need for some review on the part of the government agencies  
5 that had responsibility for worrying about these types of  
6 issues, and it is in that venue that this meeting was begun.  
7 We started putting it together, I think the middle of  
8 September, and actually it was held just a couple of days  
9 ago.

10 [Slide.]

11 The purpose of the workshop was basically twofold.  
12 First, to consider the possibility that SV40 is an  
13 infectious agent that is endemic in the population, and then  
14 to stimulate any efforts that were necessary to see whether  
15 SV40 is, in fact, a causative agent in human disease.

16 The goals were actually to summarize the current  
17 knowledge regarding the biology and the oncogenicity of  
18 SV40, that formed the basis for this type of work, to review  
19 any data related to SV40 infections in humans, and discuss  
20 the possibility of human-to-human transmission, which is the  
21 essence of issue.

22           The work of Bersagel and Butel indicated that if  
23 SV40 was in this choroid plexus tumors, these children were  
24 eight years old, eight and nine years old in the eighties,  
25 and therefore, they did not get SV40 from polio vaccine.

1       The present data, actually, to present data in  
2 addition to related to the presence of SV40 DNA sequences in  
3 tumors, and discuss the issues really related to the ability  
4 to detect this material.

5       Summarize the history and the presence of the  
6 removal of SV40 from viral vaccines. I actually failed to  
7 mention, of course, and I am sure most of you know the SV40  
8 was, in fact, a contaminant of the polio vaccine from 1955  
9 until its discovery in 1961, and its subsequent removal from  
10 the vaccine beginning in 1961.

11       It was also present in adenovirus vaccines that we  
12 used in military recruits during the same interval, and was  
13 removed from the adenovirus vaccines approximately the same  
14 time.

15       Finally, the impetus was to see what type of  
16 methods had to be developed to consider any implications of  
17 SV40 infection of humans may have for the public health.

18       So, with these goals in mind, we put together a  
19 workshop. There were 358 people invited. We had 286  
20 registered participants. The organizations who were invited  
21 to attend included the WHO, the NIBSC from the U.K., the

22 Paul Ehrlich Institute, the Vaccine Advisory Committee, and  
23 perhaps others that I have hadn't time to detail.

24 In addition, we had considerable interest of the  
25 media. CBS 48 Hours was there, the Canadian Broadcasting

1 System was there, two NBC affiliates were there, the British  
2 Broadcasting System was there, both TV and radio. The  
3 Associated Press was there, Science magazine, Money  
4 magazine, and a British publication called Impact. So, we  
5 had quite a group.

6 I think that in spite of all the media attention,  
7 the meeting proceeded very, very well. There were no major  
8 disruptions, and I thought the flow of information that was  
9 exchanged was very good.

10 Now, we attempted to organize the meeting around  
11 three basic issues, which in essence formed the focal point  
12 for these particular goals. The first of those issues was  
13 the sensitivity and specificity of the assays that are used  
14 to detect SV40 DNA in human tissues. If you can't trust the  
15 assays, you can't trust the data, and if you can't trust the  
16 data, everything else is open to question.

17 The second issue was any evidence pro or con that  
18 SV40 is, in fact, a human commensal, and when you go back  
19 and look at the old literature, evidence related to that is  
20 considerable, as I will get into in a moment.

21 Then, finally, the issue of whether SV40 is

22 possibly a human pathogen. For this particular meeting,  
23 pathogenicity of SV40 related specifically to its possible  
24 association of a causative agent in human neoplastic  
25 disease. We didn't have an opportunity to get into the

1 possibility that other diseases might be associated with  
2 SV40 infections.

3       Now, to consider these three basic issues, the  
4 meeting was organized into essentially three units. What we  
5 did was because this data goes back over 40 years, it was  
6 quite a challenge to review all the data for a lot of people  
7 who were there, who were not familiar with SV40 and for  
8 those younger members who were there, who actually were  
9 studying SV40, who were not familiar with the old data, as  
10 well.

11       So, what we did was to set up speaker sessions to  
12 be followed by a panel discussion related to each of these  
13 issues, so we had speaker, speaker, panel; speaker, speaker,  
14 panel; speaker, speaker, panel.

15       I think that organization worked out quite well  
16 for the purpose at hand. The background material was  
17 covered, not in great depth, but I think adequately for  
18 people to understand what was going on, and the audience  
19 responded enthusiastically during the audience panel  
20 discussions to the issues that were on the table, and I  
21 really think a lot of useful ideas came out of the meeting.



22           So, to get into the basic flow of the meeting, I  
23 have to kind of go through my notes here. The first session  
24 was related to -- well, it wasn't related -- it was a review  
25 of the data that I have really already mentioned, and in

1 addition, additional information that had been published by  
2 other workers in the field.

3       Essentially, six laboratories came forward with  
4 information that was related to the detection of SV40 DNA  
5 sequences in human tumors. These tumors included choroid  
6 plexus tumors, mesotheliomas, osteosarcomas, various central  
7 nervous system tumors including glioblastomas, glioblastoma  
8 multiforme, as well as peripheral blood lymphocytes, normal  
9 fluids or normal cells from individuals including peripheral  
10 blood lymphocytes, and surprisingly, seminal fluids in one  
11 study.

12       As a followup to the work that was done on the  
13 choroid plexus tumors, John Lednicky and Janet Butel at  
14 Baylor had actually recovered infectious SV40 from one of  
15 the choroid plexus tumors and had done fairly extensive  
16 sequence analysis on the recovered virus to show, to be  
17 absolutely certain that what they recovered was not a  
18 contaminant of the same type of virus that they had in the  
19 laboratory.

20       That data was also covered in some detail, and  
21 they found, surprisingly, that the virus that they had

22 recovered from SV40 from the choroid plexus tumor, in fact,  
23 only had 172 enhancer element compared to the 272 base-pair  
24 enhancer elements that are of the standard configuration of  
25 the genome wild type SV40.

1        They also had strain-specific mutations or  
2 differences in the carboxy terminus of the protein compared  
3 with what is considered to be the wild or archetype SV40.

4        Now, in contrast to all these data, there were  
5 three other laboratories reported data that was completely  
6 contradictory. Using the same probes, the same  
7 technologies, the same types of PCR assays, they could not  
8 detect SV40 DNA sequences, not in the same tumors, but in  
9 different preparations of the same tumors.

10       So, that set the stage, I think, for the panel  
11 discussion following the first two sessions. Now, in  
12 addition to the first session, the second session was  
13 related to the presence of BK and JC viruses in the  
14 population.

15       Now, you probably are aware that JC and BK viruses  
16 in SV40 are related about 75 percent homology. They are  
17 even more closely related in the region of the early, early  
18 genes that produce the T antigens.

19       So, it was necessary for people to understand the  
20 relationships of JC and BK infections in humans to the  
21 relationship of SV40 infection in humans, and the

22 possibility of cross-reactions between the probes and the  
23 serological reagents that are used to detect all three of  
24 these viruses.

25 I should also mention that certainly JC virus, as

1 you know, is associated with progressive multifocal  
2 leukoencephalopathy in humans. Eighty to 90 percent of us  
3 have antibody to both these viruses by the time we are 20  
4 years old, the antibody persists for life, and the virus  
5 persists in our tissues for life. It is variously excreted  
6 in urine and feces and sometimes by the respiratory route,  
7 as well. It has also been reported in peripheral blood  
8 lymphocytes.

9       So the stage is set for considerable problems in  
10 determining the specificity of the reactions that are being  
11 used to assess this material, and, in fact, some of the  
12 probes that are being used will amplify sequences from the T  
13 antigen region of all three of these viruses at the same  
14 time. That issue came across loud and clear.

15       To summarize the outcome of the Panel 1 audience  
16 discussion, the following points I think should be made.  
17 First, the methods that are used to prepare the DNA from  
18 human tissues can determine whether the DNA sequences that  
19 occur at low levels can be amplified by PCR.

20       Data from one laboratory showed that spooled DNA  
21 gave a negative reaction while the fluids from which the

22 spooled DNA was removed gave a positive reaction. The PCR  
23 conditions that could amplify low level DNA sequences could  
24 vary according to which set of primers were used in the  
25 reaction mixture.

1        Only one laboratory really attempted to assess the  
2 sensitivity of the PCR assay they were using, by using  
3 serial concentrations of cells containing a single copy of  
4 the SV40 genome per cell.

5        The assay used by this laboratory could detect  
6 somewhere between 10 and 100 copies of the SV40 genome per  
7 reaction mixture. The other people who were doing the  
8 assays did not report sensitivity data.

9        The PCR assays using primers that were supposedly  
10 specific for SV40 in the hands of one laboratory could  
11 amplify what appeared to be whole cellular DNA sequences  
12 once they were sequenced, but the interesting thing was that  
13 the fragment size of the whole cellular DNA sequences was  
14 exactly the same fragment size as would be predicted by the  
15 pair of primers that were selected.

16        It became obvious that verification of any virus-  
17 specific material or any material that is amplified that is  
18 presumed to be virus-specific is essential that it be  
19 carried out by DNA sequence analysis of that material.

20        I think that the theme of the first panel audience  
21 discussion was or the outcome of it was intense or



22 considerable effort should be extended to developing some  
23 type of standardized biological or PCR assay for detecting  
24 SV40 sequences, and its sensitivity and specificity are  
25 rigorously tested before we can proceed too much further

1 trying to assess the level at which SV40 DNA sequences may  
2 or may not be present in human tumors.

3 In addition, other work needs to be carried out to  
4 actually attempt to recover infectious SV40 from tissues  
5 that are supposedly SV40-positive to support the data or to  
6 refute the data that has come out of the Lednicky-Butel  
7 study.

8 In Session 3, there were papers related to human  
9 exposure to SV40 in the polio vaccine. One of the great  
10 features of this particular session was given by Dr. Maurice  
11 Hilleman. He began the session by describing the events  
12 that led up to the discovery of SV40 and the detection of  
13 SV40 in polio in the vaccines. He noted the contribution of  
14 one of the officials I believe of the National Zoo for  
15 suggesting the use of African green monkeys imported from  
16 Madrid as a way of obtaining relatively clean animals that  
17 had not been exposed to other primates during the holding  
18 period in which the rhesus monkeys were being put through  
19 when they were being processed for the polio vaccines.

20 When they got these monkeys in and tested their  
21 tissue cultures, they were relatively free of contaminating

22 viruses, but much to their surprise, we inoculated some  
23 fluids from rhesus cultures, they developed this peculiar  
24 vacuolation which was then shown to be specific for simian  
25 virus 40 or SV40 as we know it.

1       He then reviewed the data on their detection of  
2 SV40 in the polio and the adenovirus vaccines that was  
3 published in the paper that he and Dr. Sweet put out in  
4 1960. He mentioned the manner in which they presented and  
5 discussed their findings to the Division of Biological  
6 Standards and to several of the polio committees in 1961.

7       I think we were very fortunate to have Dr.  
8 Hilleman there to relate this rather amazing story.

9       The next series of papers related to SV40, the  
10 detection of SV40 and its ability to replicate in human  
11 glial tissues, but its inability to replicate very  
12 efficiently in human renal cells, and so the question that  
13 this data raises is to how SV40 can spread around the  
14 population when it replicates so poorly in human cells.

15       There was a considerable amount of information  
16 related to the expression of VP1 in human tissues, but  
17 basically, the problem is that when SV40 infects human  
18 fibroblasts, viral protein 1 is overexpressed, and there  
19 seems to be some block to late gene expression.

20       But this is not the case with SV40 which can  
21 replicate just as efficiently as it does in African green

22 monkey kidney cells. So, it is still not clear how a virus  
23 that has this dichotomy in its capacity to replicate in  
24 human cells could, in fact, spread in the population.

25 I discussed briefly the presence of SV40 in the

1 adenovirus vaccines and the possibility that some type of  
2 SV40 recombinants resembling adeno-SV40 hybrids could be a  
3 source of genetic material in the environment.

4       This possibility had already been mentioned by  
5 Dick Frisque and Dr. Imperiale, I believe, when they were  
6 discussing BK-SV40 or BK and JC-SV40 recombinants. In fact,  
7 when you create a recombination between JC and BK, it seems  
8 to enhance the ability of the recombinant virus to replicate  
9 compared to the parental virus, so there perhaps is some  
10 selection pressure there for their growth in the  
11 environment.

12       During this session, Praxis-Lederle and Connaught  
13 reviewed the procedures for producing polio vaccines and  
14 screening these vaccines for SV40. David Sangher from the  
15 NIBSC discussed the use of PCR assays to screen current  
16 polio vaccines for SV40 VP1 antigen.

17       They experience some difficulty in their assays  
18 detecting T antigen, but when they switch to the primers for  
19 SV40 VP1, their difficulties went away, and they were pretty  
20 confident in being able to say that they were unable to  
21 detect any late SV40 sequences in any of some 90

22 preparations that they tested the polio vaccines between  
23 1971 and I believe 1990.

24       Following those presentations, we sort of got to  
25 one of the major highlights of the meeting, which Dr.

1 Patrick Olein and Howard Strickler reviewed epidemiological  
2 data in which they evaluated the increases in the incidence  
3 of the tumors that we have been talking about in cohorts who  
4 would have been exposed to SV40 in the polio vaccines, and  
5 compared these incidences to cohorts who would not have been  
6 exposed to SV40 in the polio vaccines.

7        Their data was quite comprehensive. Dr. Strickler  
8 was using the SEER program that has been instituted in the  
9 United States now since 1973, I believe, on tumor  
10 incidences, and that have been gathered at over 14 different  
11 locations. Dr. Olein was using the data on I believe that  
12 they had from the tumor registry in Sweden, which has been  
13 underway for many, many years.

14        Neither of these studies could detect any increase  
15 in incidence of either choroid plexus tumors, mesotheliomas,  
16 osteosarcomas, glioblastomas, other types of central nervous  
17 system tumors, lymphomas or osteosarcomas, that could be  
18 related to the exposure to the polio vaccine that might have  
19 contained SV40.

20        Increases in incidences of human central nervous  
21 system tumors and mesotheliomas were noted in both



22 countries, but when they examined these increases in  
23 incidences and tried to correlate them with exposure to the  
24 polio vaccine, there was no association.

25       The numbers of individuals they examined in these

1 studies were quite large and perhaps the most comprehensive  
2 of any assays that have been used or epidemiological  
3 evaluations that have been used to screen for possible  
4 associations between increases in tumors and exposure to  
5 SV40 in the polio vaccine.

6 In addition to looking at the SEER data, Strickler  
7 confirmed that data using the tumor incidence data from the  
8 State of Connecticut, which has a tumor registry I believe  
9 that goes back to 1930, and it happens to be perhaps the  
10 most comprehensive set of information that we have available  
11 in the United States.

12 So, data from three different registries in two  
13 different countries was unable to detect any increase in the  
14 incidence of tumors in which SV40 DNA sequences have been  
15 reported which might implicate that exposure to SV40  
16 secondary to polio immunization in the fifties and sixties  
17 was a possible factor in human disease.

18 I think we were all quite relieved to see this  
19 information. These data, of course, do not rule out the  
20 possibility that SV40 or SV40-like viruses have been endemic  
21 in humans before the introduction of polio vaccine, and this

22 possibility set the stage for the Panel 3 audience

23 discussion.

24       Correction, that was Panel 2, whose charge was to

25 explore the possibility that SV40 is a human commensal. The

1 work of Panel 2 was influenced by several factors. First,  
2 SV40 can infect humans, and this has been shown very  
3 conclusively by a study that was done by Morris in  
4 volunteers inoculated with respiratory syncytial virus in  
5 the late fifties.

6 This virus preparation was inadvertently  
7 contaminated with SV40 because it was grown in rhesus monkey  
8 kidney cells, and the individuals who were exposed to this  
9 virus developed neutralizing antibody, they shed virus.  
10 They did not get sick. The rate at which they shed virus  
11 and developed antibody was indicative of an active  
12 infection.

13 The second thing which influenced this panel's  
14 work was that 50 to 60 percent of humans who were exposed to  
15 rhesus monkeys or exposed to SV40 in the laboratory can be  
16 shown to seroconvert, indicating that people in contact with  
17 the virus can, in fact, be infected.

18 The third, rather weaker set of data, but still  
19 quite intriguing, is that somewhere between 5 to 20 percent  
20 of sera taken from humans before the introduction of the  
21 polio vaccine, from humans who were not immunized with the

22 polio vaccine, or from humans in isolated regions of the  
23 world, such as the Amazon forest or New Guinea, had antibody  
24 that appeared to be specific for SV40. So, it was not  
25 possible to explain the presence of this SV40 antibody in

1 these individuals.

2       Now, the possibility that these antibodies that  
3 they were detecting either could be cross-reactivity from JC  
4 and BK virus is a serious issue. The data that was  
5 presented related to that was the fact that the individuals  
6 who received SV40 during the respiratory syncytial virus  
7 infections, and whose sera converted SV40, did not  
8 seroconvert the BK virus, and when they looked that data  
9 pretty carefully, it looked like that and other data  
10 suggested there was possibly a 100-fold difference in the  
11 homologous reactions to SV40 neutralizing antibody and its  
12 ability to cross-react with either JC or BK.

13       That is still a conjecture at this point in time.  
14 I think that the data on that are really quite limited, but  
15 that is the area that will certainly need to be explored.

16       So, in view of these data, it is possible that  
17 SV40 was endemic in humans before the introduction of the  
18 polio vaccine in 1954-55. The question is how to develop  
19 the kind of assays that are needed to get at that problem.

20       The specificity of antibody responses to SV40 in  
21 paired sera is not an issue. That is reasonably clear.

22 However, as Jim Goedert of the Cancer Institute pointed out,  
23 it is not possible to use serologic data based on single  
24 serum specimens for epidemiological surveys because of the  
25 confusion as to what the antibody represents.

1        Thus, some type of assay that can be used to  
2 detect antibody that reacts specifically with SV40 needs to  
3 be developed. The possibility of using blocking antigens in  
4 assays for SV40 antibody was mentioned, and was received  
5 with some enthusiasm, but there has been no work in that  
6 direction.

7        My interpretation of these discussions is that  
8 some type of coordinated effort will need to be applied to  
9 the development of serological assays that are in fact  
10 specific for SV40, and I think from discussions that we have  
11 had since the meeting, that will take place in the very near  
12 future.

13       Now, during Session 4, the presentations in this  
14 session reviewed animal models and tissue culture models of  
15 SV40 induced neoplastic development, the ability of SV40 T  
16 proteins to bind to P53 and the RB protein and disrupt the  
17 G1 to S-phase cell cycle control. In the presence of a gene  
18 on human chromosome 6, designated Sensi 6 gene, which  
19 appears to be involved in a P53 independent growth  
20 regulatory mechanism, suggests two areas of investigation  
21 that might indicate a role that SV40 is making some



22 contribution to human tumors in which SV40 DNA sequences can  
23 be detected.

24       These observations set the stage for Panel 3

25 audience discussion. Data showing that SV40 T proteins were

1 associated with P53 and RB and cells from mesotheliomas was  
2 presented by Dr. Carbone, and was presented especially with  
3 P53 by Michale, and by Antonio Giordano in one of the  
4 earlier sessions. He showed that SV40 T proteins could, in  
5 fact, bind to RB, as well as P53.

6       These data prompted a fairly vigorous discussion  
7 as to how many cells in mesotheliomas and osteosarcomas that  
8 contained detectable SV40 DNA sequences contained detectable  
9 levels of T protein. The low levels of frequency of SV40  
10 DNA sequences in the tumors, as suggested by the need to use  
11 PCR implications to detect them, suggest that very few cells  
12 actually contain SV40 DNA.

13       There is really no estimate at this point in time  
14 as to the average number of cells in any of these tumors  
15 that contain SV40 DNA sequences, nor is it known whether the  
16 sequences that are present in these tumors, are present  
17 either as episomal or they are integrated.

18       The sample size of the material that people have  
19 to work with from a choroid plexus tumor or some of the  
20 other tissues is so small that they don't have enough  
21 material to really do that type of analysis as yet.

22           So, the data was really not available to answer  
23 this question, and Dr. Carbone was not prepared to address  
24 the implications of what P53-SV40 T antigen interactions may  
25 have for those cells in the tumor that don't seem to be

1 carrying or expressing the protein.

2       The work on the Sensi 6 cell growth regulating  
3 gene on human adenochromosome 6 has really not proceeded to  
4 the point where data are available on human tumors that have  
5 SV40 DNA sequences. This was an observation that was made  
6 by Dr. Ozer, and it was just presented during the summertime  
7 at one of the DNA virus meetings.

8       So, I think the outcome of the panel discussions  
9 on SV40 as a possible human carcinogen were unresolved, but  
10 there are a number of possibilities that were discussed, and  
11 I think the protagonists and the antagonists in this area  
12 are well informed as to what kind of issues are going to  
13 have to be addressed in order to resolve these questions,  
14 and I suspect that we will see more on this in the not too  
15 distant future.

16       There were a couple of other themes that developed  
17 during the discussion that I think are relevant to the  
18 summary of the meeting. First, the work on the issues  
19 associated with SV40 expression in human tumors and SV40  
20 expression in almost anything are proceeding with difficulty  
21 because of the lack of resources. A number of people

22 serving on the panels and in the audience made comments to

23 that effect.

24       The second issue I think that needs to be brought

25 to your attention perhaps is that representatives of

1 parents' groups reminded the audience on at least two  
2 occasions of the need to followup the questions that were  
3 raised by the workshop, that this was going to be an issue  
4 that was going to be important to them.

5 I think that sort of maybe in 2,000 poorly  
6 selected words covers what I had to say. If you have any  
7 questions, I will do my best to answer them.

8 DR. FERRIERI: Thank you, Dr. Lewis. That was an  
9 excellent summary.

10 Dr. Broome.

11 DR. BROOME: Could you give us any idea of the  
12 power of the Olein and Strickler studies to rule out an  
13 elevated relative risk, of what magnitude?

14 DR. LEWIS: Actually, I could not. I don't know  
15 that they really addressed that at the meeting. They just  
16 showed the curves, and I can't answer that question.

17 DR. BROOME: Does anybody know? It seems to me  
18 that is the fundamental issue.

19 DR. FERRIERI: It wasn't presented, Claire.

20 DR. MEIER: How many curves were there? There  
21 were three curves?

22 DR. LEWIS: Yes, there were. Each cohort  
23 represented a curve. As I recall, Strickler had I believe  
24 four different groups, a group that was born that would not  
25 have been exposed to the vaccine, the group that was born

1 that would have been exposed to the vaccine, and at least  
2 one group or two groups that were born during the interval  
3 when the vaccine was being used, and one group that was born  
4 after the vaccine was clear, and those curves were  
5 remarkably similar.

6 I think to get at the other question, this  
7 information has been put together just in the past I guess  
8 few weeks or months, and I don't know that they really had  
9 time to digest all of it yet. The only factor is that the  
10 incidence that they are comfortable with seemed to be in  
11 line.

12 DR. FERRIERI: Are there others in the room that  
13 were at the meeting who would like to comment further? Yes.  
14 Could you identify yourself, please.

15 MR. SCHIFF: Yes. Len Schiff from Microbiological  
16 Associates in Rockville.

17 Will the FDA and CBER be requiring cell line or  
18 continuous cell lines or diploid cells that are used for  
19 vaccine production, will they require SV40 sequences or PCR  
20 assays to be performed on these cell lines as part of their  
21 characterization or qualification?



22 DR. LEWIS: To my knowledge, there has been no  
23 discussion of that, of such a requirement. At this point in  
24 time, my judgment would be that it would be certainly  
25 premature until we understand more about what these primers

1 are amplifying to even consider any such requirement.

2 DR. FERRIERI: Dr. Hardegree, did you have  
3 anything that you wanted to add from your perspective of the  
4 meeting?

5 DR. HARDEGREE: No.

6 DR. FERRIERI: One of the things that I found  
7 quite interesting regarding the biology of infection in  
8 simians was that it would appear that this has been confined  
9 to old world monkeys, and not new world monkeys, and there  
10 were suggestions that further work should be done.

11 These studies have been done on relatively small  
12 numbers of animals, and what I thought would be interesting  
13 was to be able to look by PCR to see what is the presence of  
14 these sequences in some of the other animals who are  
15 negative. I don't think that the highly technological  
16 studies have been done on the animals from studies done a  
17 long time ago.

18 DR. LEWIS: That is correct.

19 DR. FERRIERI: Also, of interest I thought was  
20 that the rhesus macaque has relatively little illness when  
21 infected with the virus and that no tumors have been found

22 to be induced in these animals by SV40, so that not only do  
23 we need more epidemiological studies in humans including  
24 examination of mothers for antibodies to this virus, but  
25 also further studies in animals to better understand the

1 evolution and the possibility of true transmission from  
2 animals to humans.

3 Any other comments relative to this? I am sure we  
4 will be hearing more, and I think we should hear more about  
5 this as time goes on.

6 Dr. Edwards.

7 DR. EDWARDS: Will these proceedings be published  
8 in a book?

9 DR. LEWIS: Yes. I should have mentioned that.  
10 The conference was videotaped, it was audiotaped. Records,  
11 videos and audios will be available I believe as quickly as  
12 10 days for people who want to pay up.

13 I think within three months I am told that it will  
14 be available by the Freedom of Information mechanism for a  
15 much more reasonable price.

16 All the speakers are aware that the proceedings  
17 will be published. We hope to have all the manuscripts in  
18 by the 1st of June, and the Development of Biological  
19 Standards Series will publish the paper, will publish the  
20 journal or a book on the proceedings, hopefully, it will be  
21 out by this time next year.

22           DR. FERRIERI: One of the interesting points from  
23 a vaccine production point of view, in my opinion, was the  
24 susceptibility to inactivation by formaldehyde, but as the  
25 virus goes from being closed, double-stranded circle, in a

1 supercoil formation, if you have one break, this relaxes the  
2 ring, and two breaks then give you linear, double-stranded  
3 DNA with no amino groups that can react with formaldehyde.

4       So the possibility exists that about 1 in 10,000  
5 virus particles can escape the susceptibility or action of  
6 formaldehyde. Perhaps this is a possible explanation for  
7 the ability to have reactogenic, immunogenic material and  
8 exposure in the past in vaccines that theoretically should  
9 have been inactivated.

10       I think that closes this part. Thank you so much,  
11 Dr. Lewis. It was very challenging.

12       I think we could have a little shift here. Mimi,  
13 would you be prepared to discuss with us your activities as  
14 a member of the Future Vaccines Subcommittee of the National  
15 Vaccine Advisory Program or National Advisory Committee? I  
16 am not sure of the exact terminology here.

17       DR. GLODE: Yes. This will be a very brief  
18 report. I do actually have some very extensive notes back  
19 in my office, but I didn't know I was going to do this, but  
20 this is two meetings ago, and it will be from memory, and  
21 Dr. Eickhoff can help me a bit.

- 22           Update on Future Vaccines Subcommittee
- 23           The National Vaccine Advisory Committee actually
- 24 has a number of subcommittees that we were able to remember.
- 25 One of them is the Safety Subcommittee, and the other one

1 that is chaired by Dr. Eickhoff is the Committee on Adult  
2 Immunizations, and then there is a Future Vaccines  
3 Subcommittee. I think we are missing one or two others.

4 So, I have been on the Subcommittee on Future  
5 Vaccines as a liaison from this committee, and actually as  
6 a liaison recently from ASIP.

7 The committee has been chaired by Dr. Gordon  
8 Douglas until the past meeting, and the new chair is now Dr.  
9 Myron Levine. This subcommittee, as does NVAC, has a fairly  
10 wide representation including members from industry,  
11 Department of Defense, CDC, FDA, university, faculty,  
12 National Vaccine Program, and others, and has been at least  
13 an open meeting when I have been to it.

14 There are just two issues I would like to mention  
15 for your interest. One is that one of the products of this  
16 subcommittee is a paper that I believe has been -- and  
17 someone can correct me if they know differently -- submitted  
18 to JAMA, and so you should look for it, and we refer to it  
19 as the "delicate fabric" paper, but it basically talks about  
20 the interrelationships and interactions in terms of vaccine  
21 development between all the different groups that are



22 involved in developing new vaccine and the sort of potential  
23 tenuousness of those interrelationships.

24       So, that has been submitted as a product of this  
25 committee. The other issue that I would like to mention was

1 a meeting for members of the Future Vaccines Subcommittee,  
2 as well as some other invited participants that was held  
3 about the third week of November at Cold Spring Harbor, and  
4 this was a meeting with a somewhat unusual format, which was  
5 some case studies of vaccine development.

6 The idea was to look at a number of different  
7 vaccines, perhaps not all highly successful vaccines, but  
8 vaccines in development and review by having an individual  
9 who had been involved give their perspective on vaccine  
10 development, what were the obstacles, what were the  
11 supportive issues that eventually helped vaccine  
12 development.

13 So, there were six different vaccines discussed  
14 then over a two and a half day period of time - hemophilus  
15 influenza type B, rotavirus, the oral typhoid vaccine, TUI  
16 21A, Varicella vaccine, cold adapted influenza vaccine, and  
17 RSV. I think those were all the ones, but someone can  
18 correct me if that is not correct.

19 Again, there were a number of sort of lessons  
20 learned, different lessons from each of these vaccines, but  
21 I think some of them, semi-universal lessons. One of them

22 was that one of the important aspects of vaccine development  
23 was, in fact, to have excellent disease surveillance and  
24 epidemiology, so that one could establish a disease burden,  
25 and that there was in some circumstances, that that came

1 sort of a little bit after the vaccine development and  
2 perhaps should have preceded it. It would have helped  
3 things along a little bit.

4       The second was that for some of the vaccines  
5 having really excellent basis science work, really helped  
6 facilitate vaccine development, really understanding the  
7 important antigens, the immune response, et cetera, was very  
8 important.

9       In addition, sponsor support for research and  
10 development of vaccines, which again to a certain extent was  
11 a product of the other two issues I have mentioned, how much  
12 disease burden is there, what is the perceived need and use  
13 for this vaccine, will it be universally recommended, et  
14 cetera, had to do with how involved and how supportive  
15 sometimes sponsors were for these vaccines.

16       Another issue was that most of the successful  
17 vaccines had a very strong champion, sort of during the lean  
18 years and during the better years of vaccine development.  
19 Sometimes that was an individual, sometimes that was an  
20 agency, sometimes that was a pharmaceutical company, but  
21 some group of people or individual people who really

22 believed in the vaccine and/or the need for a vaccine for  
23 that disease and really pushed it along.

24       As a result of that, I think there is now again a  
25 draft of a paper that describes this meeting, describes the

1 case studies more from the point of view of again lessons  
2 learned, and hopefully, may be helpful, not only to this  
3 committee, but to other individuals interested in vaccine  
4 development.

5 I would say that the Futures Vaccine Subcommittee,  
6 I think does not see themselves as being charged with  
7 prioritizing or naming new vaccines to be developed. There  
8 are other groups, such as the Institute of Medicine, that  
9 does that.

10 So, they are really looking at the broader picture  
11 of how are vaccines developed, what systems can be in place  
12 or modified in order to support creativity in vaccine  
13 development, you know, thinking very broadly about issues  
14 for future vaccines including international versus national  
15 issues, et cetera.

16 So, that is kind of what I can recall from memory.  
17 Other people could chime in who have been at a number of  
18 these meetings if they would like to.

19 DR. FERRIERI: Thank you, Mimi.

20 Is there further discussion on this or any  
21 questions for Dr. Glode?

22 DR. GLODE: I forgot to mention one thing, and I  
23 may have this one wrong, but it was kind of interesting.  
24 They looked at average time to sort of licensure of a  
25 vaccine for a disease, and there was a range, but I think

1 the average was 15 or 16 years. We convinced ourselves that  
2 that was the norm.

3 DR. FERRIERI: I wonder if we shouldn't go on.

4 Dr. Baylor, would you like to carry on here? The  
5 Regulatory Uptake will be given to us by Dr. Norman Baylor  
6 from FDA.

7 We are doing fine with the schedule. I don't want  
8 anyone to feel inhibited about speaking or asking questions.  
9 This was scheduled for approximately 30 minutes.

10 Regulatory Update

11 [Slide.]

12 DR. BAYLOR: Basically, what I wanted to do today  
13 is give you just a brief update of the regulatory  
14 accomplishments that we have had in the Office of Vaccines  
15 in CBER over the last year and part of this year.

16 As most of you are probably aware of, and for  
17 those of you who are not, yesterday, we approved the first  
18 acellular pertussis vaccine that came out of the NIAID  
19 trial, those recent trials, and this vaccine, as I said, was  
20 approved yesterday, and it is for the primary and booster  
21 immunization of infants and children except, as a fifth



22 dose, in children who have previously received four doses of  
23 acellular pertussis.

24       The trade name of the vaccine is Enfanrix. As I  
25 said, the manufacturer is SmithKline Beecham. It is a

1 shared manufacturing arrangement with Chiron Boehringer from  
2 Marburg, Germany. Chiron Boehringer produces the DT component  
3 of this vaccine, and SmithKline Beecham Biologicals produces  
4 the acellular component of this vaccine.

5 Other approvals that we had this year, I will go  
6 backwards and then work my way to 1996, we approved a  
7 hemophilus B conjugate vaccine combined with the hepatitis B  
8 vaccine. This vaccine was manufactured by Merck & Company,  
9 and this vaccine is for the active immunization of persons  
10 or individuals 6 weeks to 15 months of age, born -- I want  
11 to emphasize this -- born to hepatitis B surface antigen-  
12 negative mothers, and ideally, the immunization with this  
13 product would occur at 2 months, 4 months, 12 to 15 months  
14 of age.

15 The other approval we had in Fiscal Year '96 was  
16 vaccine from Merck, manufactured by Merck, and this was a  
17 hepatitis A vaccine. This vaccine was approved for the  
18 active pre-exposure prophylaxis against hepatitis A virus,  
19 and this is for persons 2 years and older. The primary  
20 immunization here should be given at least two weeks prior  
21 to the expected exposure to hepatitis A vaccine.

22 [Slide.]

23 There were several approvals of major supplements

24 in Fiscal Year '96 also, one of those being the hepatitis A

25 vaccine from SmithKline Beecham Biologicals, and this

1 approval was for a new pediatric dosage formulation of 720  
2 ELISA units per half ml dose. Currently, there are two  
3 choices with this vaccine in the pediatric to adolescent age  
4 group, that is, 2 years to 18 years.

5       You can either go with the 320 ELISA units at two  
6 doses, one month apart, and then you follow that with a  
7 booster of 360 ELISA units, six to 12 months thereafter.

8       With the approval of this supplement, in that  
9 population 2 to 18 years of age, you can receive 720 ELISA  
10 units, and then follow that with the booster of 720 units,  
11 six to 12 months later.

12       The adult dosage stays the same, at 1,440 ELISA  
13 units.

14       We also approved a hemophilus B conjugate vaccine  
15 liquid formulation, and this was produced by Merck &  
16 Company, and this particular formulation of this vaccine has  
17 reduced the dose to 7.5 micrograms from the lyophilized  
18 formulation.

19       We also had an approval of an acellular pertussis  
20 vaccine from Connaught Laboratories, Inc., the Tripedia, and  
21 this was approved for the primary immunization of infants

22 and also it was approved, the primary immunization was  
23 approved in July of last year, and in September of last  
24 year, we also approved this vaccine to be combined with  
25 Pasteur-Merieux use, hemophilus B conjugate vaccine, and

1 this is for immunization only at 15 to 18-month-old  
2 children. It is not for the primary series.

3 As I stated, we have approved the Pasteur-Merieux  
4 hemophilus conjugate vaccine to be used in combination with  
5 the Tripedia from Connaught Laboratories, and again, this is  
6 for 15 to 18 months of age.

7 Lederle Laboratories, in December, the latter part  
8 of December of last year, was approved for an acellular  
9 pertussis vaccine also, and this is for a new infant  
10 indication and a modified formulation was also approved with  
11 this vaccine.

12 This vaccine can be used for the fourth and fifth  
13 dose in children who have received three doses of Acel-  
14 Immune or whole cell, and Acel-Immune is indicated for  
15 active immunization of children 6 weeks of age to age of 7.

16 These were the major approvals of product license  
17 applications and supplements that we had in FY '96 and early  
18 '97. Other regulatory accomplishments that we had over this  
19 fiscal year, in December, we produced a Points to Consider  
20 for plasma DNA vaccines, and this was presented to the  
21 advisory committee in October of last year, and this Points

22 to Consider was intended to provide manufacturers with  
23 preliminary guidance on manufacturing and preclinical  
24 evaluation of plasma DNA vaccines intended for clinical  
25 studies in preventive infectious diseases.

1       We also had a Points to Consider for combination  
2 vaccines signed out of CBER this past December. This  
3 particular Points to Consider is not available. The  
4 previous draft that we discussed in October of 1995 is still  
5 available. The December draft is not available yet. It is  
6 pending release from the Agency, but that has been signed  
7 out of CBER, and we expect that to be available soon, that  
8 particular draft.

9       That is all I have for you. I will take any  
10 questions if you like.

11       DR. FERRIERI: Thank you, Dr. Baylor.

12       Questions? Dr. Broome.

13       DR. BROOME: Are there particular issues or  
14 changes that you are able to discuss with the Points to  
15 Consider document on the combination vaccines?

16       DR. BAYLOR: Most of the changes, they are not  
17 significant changes, so the essence of the October 1995, it  
18 is still pertinent.

19       Thank you.

20       DR. FERRIERI: Thank you. That concludes  
21 everything except the open public hearing, and I will turn



22 this over now to Nancy Cherry.

23 Open Public Hearing

24 MS. CHERRY: This is the time for anyone from the

25 public who wishes to make a statement. I was not alerted by

1 anyone that they wished to make a statement. We are ahead  
2 of schedule, but is there anyone in the audience who would  
3 like to make a statement?

4 [No response.]

5 MS. CHERRY: If not, then, the public hearing  
6 session is closed.

7 DR. FERRIERI: Thank you, Nancy.

8 That concludes the meeting, and we can now  
9 adjourn. I wish everyone a good trip home.

10 [Whereupon, at 4:00 p.m., the proceedings were  
11 adjourned.] →